

DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
FOOD AND DRUG ADMINISTRATION

10/10/85

x - - - - - x
: :
: PSYCHOPHARMACOLOGIC DRUGS :
: :
: ADVISORY COMMITTEE :
: :
: Twenty-Eighth Meeting :
: :
: Volume I :
: :
x - - - - - x

9:00 a.m.

Thursday, October 10, 1985

Room G&H
Parklawn Building
5600 Fishers Lane
Rockville, MD 20857

Baker, Humes & Burkes Reporting, Inc.
202 347-6803

PARTICIPANTS

- THOMAS DETRE, M.D., Chairman
- FREDERICK J. ABRAMEK, Executive Secretary
- WALTER H. CARTER, Ph.D.
- SHELDON H. PRESKORN, M.D.
- SANDRA STEINBACH, M.D.
- CHING-PIAO CHIEN, M.D.
- MICHAEL E. STANLEY, Ph.D.
- THOMAS HAYES, M.D.
- KARIN KOOK, M.D.
- ROBERT TEMPLE, M.D.
- PAUL LEBER, M.D.
- LINDA KESSLER, M.D.
- J. HILLARY LEE, M.D.
- GEORGE CHI, Ph.D.
- RICHARD KAPIT, M.D.

For Eli Lilly & Company:

- W. LEIGH THOMPSON, Ph.D., M.D.
- RAY W. FULLER, Ph.D.
- LOUIS LEMBERGER, M.D., Ph.D.
- ROBERT L. ZERBE, M.D.
- JOACHIM F. WERNICKE, M.D., Ph.D.
- GUY CHOUTINARD, M.D.
- DAVID WONG, M.D.

4

P R O C E E D I N G S

9:25 a.m.

1
2
3 DR. DETRE: I would like to call this meeting to
4 order, and welcome everyone to the 28th meeting of the
5 Psychopharmacologic Drugs Advisory Committee.

6 My name is Thomas Detre. I am from the University
7 of Pittsburgh, and the chairman of this committee.

8 Next, I would like to introduce those people around
9 the table, or more precisely, ask them to introduce themselves.

10 DR. HAYES: Tom Hayes.

11 DR. PRESKORN: Sheldon Preskorn, University of
12 Kansas, Wichita.

13 DR. STANLEY: Michael Stanley, Columbia University.

14 DR. STEINBACH: I'm Sandra Steinbach of Dallas,
15 Texas.

16 DR. KAPIT: Richard Kapit, FDA.

17 DR. CHI: George Chi, FDA.

18 DR. LEE: Hillary Lee, FDA.

19 DR. CHIEN: Ching-piao Chien, from the University of
20 California, Los Angeles.

21 DR. KESSLER: Linda Kessler, FDA.

22 DR. LEBER: Paul Leber, FDA.

23 DR. DETRE: Ladies and gentlemen, Mr. Abramek has a
24 few administrative announcements to make before we begin the
25 meeting. Following him, Dr. Leber will make a brief welcoming

1 statement.

2 Mr. Abramek?

3 MR. ABRAMEK: Thank you, Dr. Detre.

4 Again, as Dr. Detre has indicated, this is the 28th
5 meeting of the Psychopharmacologic Drugs Advisory Committee.
6 My name is Fred Abramek, and I am the executive secretary of
7 this committee.

8 In regard to administrative announcements, there are
9 agendas, handouts, et cetera, on the front table, and I hope
10 those of you -- everyone in the audience does have a handout,
11 and if you would be kind enough to sign our roster, that would
12 be very much appreciated.

13 The acoustics in this room are rather bad, and for
14 that reason we ask that all speakers, whether they be members
15 sitting around the table, or speakers from the audience who
16 have been recognized by the chair, to be sure to speak into a
17 microphone. It will make our transcriptionist's and recorder's
18 jobs a lot easier.

19 In addition, this room is, as the day wears on, will
20 become increasingly hotter, so please make yourselves comfort-
21 able.

22 If anyone desires to make comments in the open
23 public session, which will immediately follow Dr. Leber, we
24 ask that you not speak until you have been recognized by the
25 chair, come forward to the microphone, and identify yourself

1 and your affiliation.

2 Statements made must relate to the issues being con-
3 sidered at this meeting, or be of general interest to the com-
4 mittee at large.

5 Smoking is not permitted in this room, and for those
6 of you who do smoke, smoking is permitted outside this room
7 elsewhere in the building. There is a cafeteria on this floor
8 for those of you who need either a drink or a refreshment.
9 Make either a right or a left-hand turn as you go out the door
10 and the same for restrooms. Regarding the drink containers,
11 newspapers, et cetera, it would be very much appreciated if
12 those of you who brought something into this room could bring
13 it out with you. It would certainly make my job a lot easier
14 or, at the very minimum, deposit it in one of the numerous
15 receptacles around the room.

16 For those of you who wish to record this meeting,
17 recordings are allowed. Just a reminder, though, that the
18 recordings are unofficial in nature.

19 A review of the agenda by Committee Management
20 Personnel Branch indicates that no committee members require
21 limitation on their participation at today's session. However
22 in the event that there might be some things we have over-
23 looked, I now ask the committee members, is there anyone who
24 feels that they have a conflict of interest or a potential
25 conflict of interest which might preclude them partaking in

1 today's discussion?

2 (No response.)

3 MR. ABRAMEK: There have been no indications of poten-
4 tial conflict of interest.

5 I would now like to mention the fact that Dr. Hillary
6 Lee, who is sitting to my right, is acting -- serving as a con-
7 sultant to the agency, and will be presenting the efficacy
8 review of fluoxetine hydrochloride today.

9 Dr. Lee is a former FDA employee, and her participa-
10 tion has been cleared by the Committee Management Personnel
11 Branch also. And one last item is that fluoxetine hydrochlor-
12 ide will be the only issue discussed by the committee today.
13 Haloperidol is on the docket for tomorrow.

14 Dr. Detre?

15 DR. DETRE: Dr. Preskorn would like to make a brief
16 statement.

17 DR. PRESKORN: Yes. I have been approached by Lilly
18 about doing a study with fluoxetine, although we have not
19 entered into doing such a study at this time.

20 DR. DETRE: Thank you very much.

21 Dr. Leber now has a few remarks to make. Dr. Leber?

22 DR. LEBER: I just wanted to take the opportunity to
23 welcome the committee again, officially, to thank you for coming.

24 I would like to welcome two new members of the com-
25 mittee who are here for the first time today -- Dr. Sheldon

1 Preskorn, who is sitting at the right front, and was kind
2 enough to join us. He is from the University of Kansas Medical
3 Center; and Dr. Walter Carter, who is going to serve as our
4 biometric anchor and outside expert. Thank you two for join-
5 ing the committee.

6 In addition, I want to offer my personal thanks in
7 regard to Dr. Detre, who is serving as our chairman, a job
8 that isn't always so much fun. I thank you.

9 With those remarks, I think we can probably get on
10 to the open session, I hope.

11 DR. DETRE: Ladies and gentlemen, the open public
12 session is now in progress. Although neither Mr. Abramek nor
13 I have been approached by anyone requesting time to make a
14 statement, the floor is now open for comments.

15 Does anybody in the audience desire to make any com-
16 ment at this point? Yes?

17 Yes, please? Would you come forward?

18 DR. MYERS: I'm Dr. Myers. It's inaudible back here
19 and it may be that that microphone is out of order.

20 DR. LEBER: I think it is. I thank Mr. Myers. The
21 problem may be the microphone system, but I'm not really cer-
22 tain. Are people hearing me at this point?

23 Let me switch microphones. Are you now hearing me?
24 (Pause.) I'll tell you what -- if you're hearing me, raise
25 your hands.

1 Stand up if you don't hear me.
2 (Laughter.)

3 I'm having a hard time reading the data. I think
4 that the -- that I will try to yell, and if I run out of steam,
5 you can probably pick it up in the transcript, for a small fee
6 later, but I will do my best.

7 DR. DETRE: Dr. Leber would like to make some intro-
8 ductory comments.

9 DR. LEBER: Okay. I'm now really moving into the for-
10 mal session on fluoxetine, a drug product which the Division
11 has concluded is an antidepressant drug, and one which we
12 believe, at this stage of our review, is a reasonably safe anti-
13 depressant drug.

14 I will make clear in the following remarks why we are
15 not yet to make an official recommendation that the product be
16 approved, and are not really asking the committee to address
17 that question at this stage in the review process. We are
18 really, because of timing of when we come to advisory commit-
19 tees, looking at the issue of the evidence in hand. There is
20 still evidence to be submitted regarding the dose-response
21 relationship and the labeling of the product, a final safety
22 update, and other matters that are yet to be determined, but we
23 felt that we wanted to get a reading from the committee at this
24 time of the direction in which we are going.

25 And, with that understanding, let me go over some

10

1 generic issues and points that I think might be worth discus-
2 sing, because, in truth, the FDA guidelines on antidepressant
3 drug products were issued almost eight years ago, and as a
4 result, I think the information out there about how the
5 Division approaches the review and assessment of antidepressant
6 drugs may be a bit stale, and I think it might be useful for
7 the committee, in view of its two newer members, and in addi-
8 tion for the audience, to go over how and why we integrate what
9 we do, with the Food, Drug, and Cosmetic Act, and with the
10 field of antidepressant drugs.

11 Now, it isn't only, I suppose, with antidepressants,
12 but in terms of tomorrow as well, what we do may be important.
13 The Division has clearly identified some studies, and rejected
14 others, as probative sources of evidence of efficacy bearing on
15 the two drug products that we will discuss over the next two
16 days. It might be useful for you to understand why we've done
17 that.

18 To do that for you, I want to start with the basic
19 issue of the law itself. Now, under the Federal Food, Drug and
20 Cosmetic Act, a drug can't be approved, introduced into inter-
21 state commerce, and sold, unless its vendor, someone we call a
22 sponsor, submitted to the agency, and the agency approved, a
23 new drug application for that product.

24 Now, there is a bias in the Act, and the bias in the
25 Act is to approve new drugs. And, thus, the language of the

1 Act says that we should go ahead and approve such applications
2 unless we make certain negative determinations.

3 Now, what are those determinations? Well, there are
4 six of them in the law. One says that we should not approve
5 an application if we find that there are not adequate tests
6 by all methods reasonably applicable, to show that the drug
7 will be safe -- and I'm paraphrasing, if it is used as directed
8 in its labeling.

9 The point, again, for you all to remember, is safe
10 in view of the labeled use.

11 Also, if there are such adequate tests available,
12 these should be considered, and if we find that the drug has
13 adequate tests, we can approve it, unless these tests show
14 that the drug is unsafe, that is, a positive finding of a lack
15 of safety, or the tests fail to show that the drug is safe.
16 Again, all this emphasis on safety and labeling.

17 We should also approve the drug, unless we find that
18 its manufacturing processes are not adequate to insure that the
19 drug will be what it claims to be -- that is, that it will be
20 chemically okay, and it will be pharmaceutically correct, that
21 it will provide what it says in its labeling, in terms of the
22 strength, purity, identity, and so on.

23 We are also instructed to approve unless we find
24 that the information we have in front of us, from all sources,
25 is adequate. If it is inadequate, we shouldn't even make a

1 decision.

2 Now, in 1962, added to the law was the concept that
3 we should approve a drug, unless we find -- and this, I do
4 quote, "that there is a lack of substantial evidence that the
5 drug will have the effect it purports or is represented to
6 have, under the conditions of use prescribed, recommended or
7 suggested in the proposed labeling."

8 That's the old efficacy; and finally, of course,
9 what has been around in the law since, probably, the progeni-
10 tor of the law, in 1906, is the need that the labeling of the
11 drug not be false or misleading in any particular, because if
12 it is, we shouldn't approve it.

13 Now, again, take note of what these instructions
14 stress. Safety is mentioned in three of the six items that we
15 are supposed to consider for non-approval. Also note the
16 nature of the evidence required to demonstrate efficacy. It
17 comes from adequate and well-controlled clinical investiga-
18 tions, and note that all of these requirements are linked to
19 the claim that the sponsor makes for his drug product.

20 In summary, the Act is very clear that a decision to
21 approve an application is going to depend upon adequate evi-
22 dence, developed in a sufficiently comprehensive testing pro-
23 gram to permit us to make reliable judgments about the pro-
24 duct's safety, its pharmaceutical quality, its efficacy, and
25 its labeling accuracy.

1 Now, obviously, there is more than one theoretical
2 way to carry out the instructions of the Act, and consequently,
3 to insure its orderly and efficient enforcement, the agency has
4 developed and promulgated regulations and policy that are
5 designed to insure that we accomplish the aims of the Act.

6 Now, these regulations are a result of a process
7 called notice and comment rulemaking, that seeks to insure that
8 everyone who is affected by the language of the Act, and the
9 requirements of the Act, has a role in the generation of these
10 regulations, that is, manufacturers, sponsors, physicians,
11 single-interest constituencies, the broad base of consumers —
12 all can participate in this process; and, thus, the regula-
13 tions governing new drug applications pretty much reflect not
14 only the intent of the Food, Drug and Cosmetic Act, but the
15 collective wisdom of society, and in particular the scientific
16 community.

17 Now, obviously, collective wisdom usually speaks to
18 generic issues, so field-specific and/or drug-specific issues
19 rarely are covered in regulations. Instead, these issues are
20 covered by guidelines. I mentioned we have one that is some-
21 what stale, and policy; and policy is what I want to get.

22 Now, to better serve us as consultants, and you do
23 serve the agency, I think you ought to understand about our
24 division's specific approach to antidepressants. I think the
25 approach, and again, I'm biased, melds requirements of law,

1 scientific principle, and common sense.

2 First, let's go back to the issue of efficacy. The
3 Act is very clear that probative evidence of efficacy must come
4 from adequate and well-controlled investigations, including
5 clinical investigations.

6 Now, the evidence must be substantial. "Substantial,
7 by the way, is a term of art, and I wouldn't attempt to define
8 it without counsel, and even then I'm not sure it can be pre-
9 cisely defined. But I would like you to listen to the descrip-
10 tion of what substantial evidence is attached to in the law.
11 It is evidence of such quality that experts, qualified by rele-
12 vant training and experience, could rely upon it, to conclude
13 fairly and responsibly that the drug will have the effect it
14 purports or is claimed to have in its labeling.

15 Again, it's got to come from controlled clinical
16 trials. It must be evidence that one can rely upon, and it
17 must be linked to the labeling claim.

18 Now, obviously, even experts can disagree on what
19 substantial evidence is, and they can certainly agree or dis-
20 agree about what adequate and well-controlled clinical trials
21 are, and consequently, in our regulations, we have made an
22 effort to define what we interpret, that is, the agency inter-
23 prets, adequate and well-controlled trials to be.

24 As a matter of fact, in the NDA rewrites, you all
25 know that the description of such trials, at Part 314.126, has

1 been revised slightly, so that there are now a list of five
2 types of clinical controlled conditions that could be used in
3 study of drug products.

4 I think you know these are the placebo control, the
5 no-treatment control, the active control, the dose-response
6 study, and the historical control.

7 Now, these are generic considerations of trial de-
8 sign, and I think it is critical to understand that they should
9 not be applied willy-nilly to all drug classes. Some drug
10 classes have one design that's appropriate, others another; and
11 in particular, in the study of antidepressant drug products,
12 the Division will not consider evidence, as you might expect,
13 derived from studies that are controlled historically, that use
14 no-treatment controls; and, most controversially, from studies
15 that are active-only controls.

16 Now, I think you understand that the community accepts
17 that no-treatment controls and historical controls are open
18 studies, and can't be blinded, and therefore it is fairly
19 obvious why the subjective reports of investigators and
20 patients couldn't be relied upon, in some studies.

21 The arguments on active controls, I think, is worth
22 a little discussion, because, if you look at the fluoxetine
23 submission, a large number of active control studies were
24 done, and it is not unique to Lilly's submission, but it is
25 quite a common practice.

1 Why are these studies so popular? Well, one, it's
2 alleged that they're easy to get to IRB's, and I think they are
3 They appear to pose no added risks to patients. The original
4 standard drug is standard therapy, and the new drug is pre-
5 sumed, perhaps incorrectly, to be an effective and safe agent
6 when the study is conducted.

7 Beyond that, they are easy to recruit patients to.
8 There is a lot of resistance to going into studies, as people
9 will tell you, if there is a good chance that you will be
10 assigned to a no-treatment condition, basically the placebo
11 condition, even though we all know that the effect of placebo,
12 or what is subsumed under placebo, can be quite dramatic in
13 the treatment of depression.

14 In any case, the problem is that active-control
15 trials, when they are used, are often designed not to demon-
16 strate a difference between a new drug and the standard
17 reference agent, but are designed to show no difference. This
18 is the "inability to show a difference" situation, and under
19 that situation, the interpretation of that outcome is ambiguous.

20 There is simply no way to know what that means, even
21 though one could sanguinely assume that it means the drug is
22 effective.

23 But if you think for a moment, there are a variety of
24 things, even in trials with adequate statistical power to
25 detect a given size of a difference, it could account for a

1 failure to distinguish drug and a standard control -- being
2 sloppy, having the wrong incentive, as Dr. Temple has pointed
3 out many times -- simply having a treatment-resistant patient
4 set, having an investigator who is careless; all these things
5 may contribute to the failure of an active-control trial to
6 discriminate, and consequently we can't tell for certain.

7 When you can't tell the difference between an active
8 control and a new drug, what it means, it may just mean that
9 the new drug is no different from placebo.

10 Well, why do I say that? Well, it is not just aca-
11 demic speculation. In two NDA's, one of which we have publicly
12 already approved, for nomofensine (ph.), and another which has
13 yet to be discussed publicly, we had studies which compared
14 three-way designs -- involved three-way designs, that is,
15 placebo, a standard reference agent, and a new drug.

16 And in both of these NDA's, the majority of the
17 studies of that design failed to discriminate the standard drug
18 and placebo. That means that the risk of falsely declaring an
19 active drug is effective, is substantive -- it's big, and that
20 is why we won't rely on the active design for the regulatory
21 decision on efficacy.

22 I might add that that does not mean that the active-
23 control design, if properly done, is not a useful tool for the
24 assessment of antidepressant drug safety. If you are going to
25 run a long-term safety study, it may be quite useful. We just

1 won't use it for efficacy.

2 Now, what will we use for determinations of efficacy
3 of antidepressants? I am impressed, as I have been taught by
4 prior committees, that designs that will detect or show a dif-
5 ference are critical. The theme is that a difference demon-
6 strated between a new drug and a control condition, in the
7 right direction, is pretty persuasive evidence of efficacy if
8 one can exclude the other explanations for the difference.

9 And what are those explanations? They are fraud,
10 which we hope doesn't happen; bias; and chance.

11 As you know, we have a systematic way of approaching
12 those other types of explanations for differences. I might add
13 that, under current regulations, the three types of design which
14 can demonstrate a difference, are, as you might suspect, placebo,
15 the fixed dose-response study, involving the same drug as the
16 that is, two or three levels of the new experimental drug, or
17 an active controlled study, which I have just, in a way,
18 maligned, but under conditions which are designed to show that
19 the experimental drug is better than the standard.

20 Now, that is a severe test of the drug, and we have
21 applied it or expect people to apply it only rarely. But it
22 would work, if you could show that you were better than the
23 standard agent, and presumably the standard agent did not make
24 the patients or persons worse than they would have been at base-
25 line.

1 Now, what are the kinds of things we worry about,
2 after we have gotten studies that can show a difference? Well,
3 obviously, we want to make certain that the studies were ran-
4 domized, to insure that there is no bias at baseline. We want
5 to make certain that there is no evidence of fraudulent prac-
6 tice, and, you know, we do have a Division of Scientific
7 Investigations, which regularly inspects the major sources of
8 evidence for clinical studies, to determine whether something
9 is awry.

10 We also, I think, spend a lot of time looking at how
11 the conduct of the study or analysis of the study may reintro-
12 duce what randomization sought to exclude, that is, bias. And
13 this is one of the biggest jobs of the review teams.

14 We have the statisticians help us in deciding that
15 the analytical models are the correct ones, so we don't
16 stretch the laws of chance regarding whether or not the dif-
17 ference observed could be due to chance, but the real tricky
18 part of the review is the introduction of bias.

19 And let me, again, stress what we do. One of the
20 biggest problems we have is the so-called evaluable case analy-
21 sis. That is, all of the patients randomized to treatment are
22 not evaluated, but a subset is, and often the subset is deter-
23 mined, post hoc, on the basis of rules not described in the
24 protocol, for reasons that are open to question.

25 Under such circumstances, one doesn't really know if

1 the outcome, that is, an observed difference, is due to a drug
2 effect, or the fact that the patients selected for the analy-
3 sis were in some way strange.

4 So, consequently, the Division always asks sponsors
5 to provide us with what we call an "intent to treat" analysis,
6 and this is an analysis which involves every patient, who was
7 randomized to treatment, in our hands, our definition, and who
8 received at least one dose of the treatment medication. Now,
9 that is not as severe as some academicians demand, but it is,
10 I think, a fairly good operant definition.

11 Now, if that study, that type of analysis, produces
12 a different outcome than a so-called evaluable patient analy-
13 sis, that doesn't mean the study is no good. It simply means
14 that additional analysis of that study must be conducted to
15 make certain what the cause for the difference is, and then we
16 get into a case of looking at who left, why, how, what were the
17 selection rules, do they seem to be self-serving, are they
18 perfectly reasonable, and the like.

19 But I think it's an important analysis that firms
20 must do, and if firms don't do it, the staff must do it.

21 Another thing that we can't control is the issue of
22 patients who prematurely end or leave studies -- terminators,
23 the dropout question.

24 As you will hear in the staff's discussion of fluoxe-
25 tine, the differential rate of dropouts between assigned

1 treatments can introduce significant biases in the evaluation
2 of outcome.

3 One of the things, therefore, that we try to do is
4 look at studies in more than one way, once again with two
5 analyses. One is the so-called -- I guess it isn't so-called
6 so often, but I will call it the observed cases analysis.

7 This is an analysis that looks at the patients who
8 are actually rated at the time points required. It doesn't
9 carry forward any additional scores or information from the
10 past. It is the actual cases observed analysis. It has its
11 biases, and it is affected in different ways by dropouts.

12 Then we look at something we call the last observa-
13 tion carry-forward analysis, or the endpoint analysis, and we
14 look at that, and see if that and the observed cases analysis
15 differ. If they don't, no problem. If they do, again, we go
16 into an analysis of why and how, and better, again, that the
17 sponsors and firms should do this in the submission, rather
18 than ourselves.

19 But those are some of the things that staffs spend a
20 lot of time on, and you will see, in this particular discus-
21 sion this morning by our staff, how the differential rate of
22 dropouts from a study led us to conclude that we should do
23 something about pooling, and a particular treatment by inter-
24 action in a pool.

25 Speaking of poolings, I ought to mention that we have

1 a fairly clear understanding internally of what we do. If you
2 plan a study, multicenter study, of many sites, as a single
3 study, it will be treated as a single study. You cannot, after
4 the fact, go back and extract the winners from among many
5 losers, and promote those as independent investigations.

6 On the other hand, if you have several studies that
7 were not planned to be pooled, and you try to put them together
8 to get a significant P-value, we won't accept that either.
9 That, to us, is a picking through the crop to find the best
10 items, and packing the top of the case with them. It is some-
11 what deceptive, and we don't really allow that either.

12 So I think the only pooling that we are talking
13 about in antidepressant drugs, involves preplanned multicenter
14 studies, and it would be useful if people would describe to us
15 the extent of the pool. Very often, the pooling occurs, and
16 it's ambiguous how many centers, how many patients, and I
17 recommend -- and again, I'm not talking so much to the com-
18 mittee, but to the audience, that an effort be made to specify
19 how many patients in how many centers would be involved in
20 the ultimate pooling, in the protocol, because then there will
21 be no dispute after the fact about how the pooling was genera-
22 ted.

23 Well, so far, I've just really gone into how we
24 approach the efficacy question, and I think that is a generic
25 instruction on antidepressants. It's not the final word, but

1 it is the strategy used by our staff.

2 The other big issue, of course, is safety. Safety,
3 I'd like to say just a couple of words about. The law, as I
4 mentioned, three out of six major requirements goes into the
5 issue of being sure the drug is safe, being sure it's not un-
6 safe, being sure it's safe as labeled for use, et cetera.

7 Obviously, when Congress passed the Act in '38, they
8 had the sulfonamide tragedy in their minds, and probably were
9 very concerned about issues of safety. We still are, very
10 much so, and one of the things we all come to realize is that
11 "safety" is just a relative term. There is no way to prove
12 absolute safety. No drug is absolutely safe, in the absolute
13 sense that might be understood by a layman.

14 So, consequently, all our judgments about safety are
15 relevant ones, and again, our ability to detect risk in a drug
16 is pretty much a function of the incidence of risk in the
17 underlying treated population, in the number of patients we
18 have treated. And the number of patients treated also must
19 include the length of time such patients are treated.

20 Now, because of the way NDA's are done, our qualifi-
21 cations at the time of approval of a drug as safe, is that it
22 is safe for the most common adverse risks that we can suspect.
23 Remote or rare events, or events that are time-conditioned,
24 that is, if something has to happen to a person, if they have
25 to undergo some metabolic induction, or they have to have some

1 type of immunologic change -- if that event is time-dependent,
2 it is very likely that we run a big chance of missing it.

3 Why? Because the number of patients at risk for
4 being observed in an NDA for a great length of time, that is,
5 for over three months, is vanishingly small, compared to the
6 number that might be available in four weeks; and, again, the
7 rate of risk, small risks in particular, depends on our ability
8 to detect it on the number of patients observed.

9 I had a concrete example. If you had a drug, for
10 example, that we knew caused seizures at the rate of one in
11 500 patients exposed for six months, you would literally have
12 to study 1,500 patients for six months to be 95 percent cer-
13 tain of observing just one seizure.

14 So you can see, there is a substantive risk of mis-
15 sing not-that-uncommon events if you don't follow patients for
16 a long time. I am emphasizing all of this because all judg-
17 ments about safety are relative ones. They must be qualified
18 in the context of how long, and how many patients have been
19 observed.

20 On the other hand, as I have said, no drugs that are
21 unsafe, and the policy of the agency at the present time, and
22 I think it always has been, and was the Congressional intent --
23 that any drug can be approved if it's effective, provided --
24 even if it's dangerous, and I mean, there will be absolute
25 exceptions to this -- provided that the labeling adequately

1 describes what those risks are, and what the relative risks and
2 benefits probably are. "Probably" means you don't know that
3 for certain.

4 The danger is, ADR would not keep an effective drug
5 off the market with adequate labeling. Now, labeling might go
6 into, is this the antidepressant of first or second choice;
7 should this drug be used only in cases that fail in all other
8 treatments; or, simply, a very prominent display in the label-
9 ing of that risk?

10 But, again, I want you to think carefully about risks
11 and make sure that we have a good handle on them, and I think
12 the safety review that Dr. Kapit will present, will illustrate
13 some of the strategies that we have used to approach risk, in
14 terms of when in the course of drug development we've looked,
15 and how.

16 Now, that about concludes my introductory course in
17 food and drug law, I guess, and how we try to apply it to the
18 process of drug review.

19 Before I turn the discussion over to our staff, I
20 would like to make a couple of observations, again. Remember,
21 the subject for today of fluoxetine is not on the approval of
22 fluoxetine, per se. We are asking the committee's advice on
23 whether or not the evidence that bears on safety and efficacy
24 is as we believe it is. There is still additional safety
25 information that must come in, there are questions about the

1 metabolism of fluoxetine, its apparent nonlinear pharmacokine-
2 tics, the possibility that blood levels of fluoxetine and its
3 long-acting metabolites may be accumulated. These have yet to
4 be decided, but I believe they are labeling issues, and we will
5 require further review.

6 There is additional information about the dose
7 response of fluoxetine, that we will expect the firm to sub-
8 mit, and we will have additional discussions with them about
9 how to predict this.

10 Also, there is a mandated safety update that has to
11 be submitted, but again, we can move fairly far along in the
12 process, if you will look at what we have in hand and offer
13 good judgment on that.

14 Now, who is going to make the presentations? Well,
15 Dr. Lee hesitated for a moment when she said that she was
16 working for the FDA, today. Dr. Lee has worked until very
17 recently for the FDA, and is now a special government employee.
18 She was the primary clinical assessor of fluoxetine's evi-
19 dence of efficacy. She now works for a -- can I give you a
20 little applause -- Memory Associates, down in Bethesda, a
21 totally independent assessment laboratory, and is going to pre-
22 sent the evidence on fluoxetine's efficacy as an antidepressant
23 today.

24 Supporting her will be Dr. Chi, the biometrician, in
25 the Division of Biometrics, who has worked with Dr. Lee. He

1 will discuss some interesting aspects of the data analysis,
2 pointing out what we did with -- I mean, primarily. He will
3 discuss a lot of things, but I think an interesting part of his
4 presentation will be what we did with pooling 27, which is a
5 multi-site investigation.

6 And following Dr. Chi, we will have Dr. Kapit, who is
7 the clinician on the team, physician, who was the safety re-
8 viewer on fluoxetine, and he will present the results of his
9 review.

10 DR. DETRE: If I may interrupt for a second, Mr.
11 Abramek wanted to make a quick statement.

12 MR. ABRAMEK: Are you done, Dr. Leber?

13 DR. LEBER: (Indicated yes.)

14 MR. ABRAMEK: Fine.

15 Dr. Detre, I would like to ask for a recess of two
16 minutes.

17 (A short recess was taken.)

18 DR. DETRE: On the record.

19 Dr. Leber will make another brief statement.

20 DR. LEBER: Fortuitously, the Associate Commissioner
21 for Management and Operations, Mr. Gerald Myer, was in the
22 room, and heard Dr. Preskorn announce that he has been
23 approached by Eli Lilly to consider doing a study on fluoxe-
24 tine.

25 We have discussed the matter in a short committee

1 meeting, and have decided that the agency will grant a waiver.

2 We do not believe, in any sense of the word, that
3 one could construe this to be a conflict of interest, and we
4 are granting a waiver. I don't know -- I hope, if I'm saying
5 anything wrong, Mr. Myer, in terms of the technical termino-
6 logy, please -- because this will be in the record.

7 MR. MYER: That will be fine.

8 DR. LEBER: Fine.

9 Obviously, we will act as though what you have said
10 has no meaning whatsoever in terms of your participation today.
11 Sorry for that interruption, but we were lucky to have Mr.
12 Myer here.

13 With no further ado, and if it's all right with the
14 chairman, Dr. Lee.

15 DR. LEE: As we mentioned earlier, I am going to
16 give an overview of the efficacy of the submission. Dr. Chi
17 will go into more detail on some of the issues. Are these
18 mikes okay?

19 This submission contains three placebo-controlled
20 studies. One of these was with the standard drug -- that's
21 cimetidine. There were also 12 active drug-controlled
22 studies. These were studies without placebo.

23 The active comparisons in these 12 studies were imi-
24 pramine in three, amitryptilene in three, doxophen in four,
25 and two compared fluoxetine OD and twice daily.

1 I should say, as you probably all know, there were
2 other studies in the submission as well, but they don't bear
3 directly on efficacy, so they won't be discussed here.

4 Of the three types of designs used in the submission
5 that's the three-way, the investigational drug, standard drug
6 and placebo, the investigational drug versus the standard
7 drug, and the third type is investigational drug versus placebo
8 as Dr. Leber mentioned, we consider the three-way design the
9 most definitive.

10 Another way of saying our reasons -- the three-way
11 design allows us to evaluate the discriminability of the
12 design of that particular trial. Is the trial capable of dis-
13 criminating between active and inactive treatments?

14 The use of placebo and the standard drug allows us to
15 evaluate the appropriateness of the sample, and also how well
16 the study was run.

17 Now, we can't say anything specific about those, but
18 it gives us our sense about the study.

19 The three-way design allows one to make a judgment
20 about the investigational treatment, in that, if patients
21 respond to the standard treatment, and also the investigational
22 treatment, but not to the placebo, one can conclude with some
23 certainty that the sample was appropriate, and that the inves-
24 tigational drug is having a similar effect to the standard
25 drug.

1 However, the finding of a similar response between
2 the investigational and standard drugs, in a drug/drug study,
3 does not allow the same conclusion, because other variables --
4 placebo response, a non-critical investigator -- could result
5 in the same outcome.

6 Hence, a drug/drug design cannot be considered defi-
7 nitive in the testing of an antidepressant.

8 For these reasons, in the following, I will restrict
9 my discussion to the placebo-controlled studies, and particu-
10 larly to protocol 27, a three-way multicenter study, and proto-
11 col 19, a two-way investigational drug/placebo comparison.

12 Protocol 27 -- this was a six-week, double-blind
13 comparison of fluoxetine, imipramine, and placebo, in approxi-
14 mately 700 outpatients with a diagnosis of major depressive
15 disorder. That was based on DSM-III criteria.

16 On entry, the patients were also required to have a
17 score of at least 20 in the 21-item AMD total, and a score of
18 8 on the Raskin depression scale, and this score had to exceed
19 or equal the coded anxiety score. This was to insure the
20 selection of at least moderately severely depressed patients.

21 The exclusions were pretty standard -- serious ill-
22 ness, pregnancy, serious suicidal risk.

23 Now, there is another division in the data, and that
24 is between evaluable patients and non-evaluable patients.
25 What I am going to be showing you is the group of evaluable

1 patients, and then total group of patients.

2 Now, the non-evaluable patients -- there were a number
3 of definitions, a lot of definitions, but the main one that you
4 need to remember is that it was less than two weeks of treat-
5 ment. So if a person hadn't had two weeks of treatment before
6 they were dropped from the study, they didn't go into evaluable
7 analysis.

8 Now, the study began with a one-week -- that is, four
9 to ten days' range -- single-blind placebo phase, and then,
10 following that, the patients were reevaluated, and if they
11 still met the entry criteria, that is, a Ham. D. of 20. Also,
12 the Ham.D. couldn't decrease more than 20 percent during that one-
13 week placebo baseline.

14 They were then entered into the six-week -- it's a
15 six-week double-blind phase. Patients were seen twice during
16 the baseline, the beginning of the baseline and the end, and
17 weekly during the trial.

18 The efficacy ratings during the trial consisted of
19 the Ham.D. -- that's the Hamilton rating scale for depression.
20 We call it the Ham.D. -- the Raskin depression rating scale,
21 the coded anxiety scale, the physician CGI -- that's the clini-
22 cal global impression, provides a number of scores. The main
23 ones we have looked at are the severity of depression and
24 the change in condition. The CGI for the patient, there was
25 certainly a changed score in that one; and they used the SCL-58,

1 a symptom checklist 58, for the patient. I am not going to
2 include the safety evaluations here.

3 The dosage -- the drugs in this three-way study were
4 administered t.i.d. For fluoxetine, the dosage ranged from 20
5 to 80 milligrams daily, through a rather interesting arrange-
6 ment, actually. The fluoxetine was administered in an active
7 form at a.m. and at noon, or early afternoon. The evening
8 dose was placebo.

9 For the imipramine, there was -- it was probably a
10 half of it, like a quarter of the dose in the morning, a quar-
11 ter at lunch, and a half in the evening, so that they managed
12 to -- in this way, they could approach the way we usually
13 administer imipramine, because I think imipramine is given
14 morning only and evening, and they could still blind, and give
15 their drugs in the morning and at noon.

16 The dosage for imipramine was 75 to 300 milligrams
17 daily. As I said, it was t.i.d., administered t.i.d.

18 Now, in the results, the sponsor did two complete
19 sets of analyses. You have heard a little bit about this. One
20 was on all subjects who at least had one on-drug rating, and
21 the second analysis was of the evaluable patients.

22 Some of what Dr. Leber said, I have in mine as well,
23 so I'm skipping it here.

24 The comparison of the total group analysis with the
25 evaluable group analysis will give you some idea if there was

1 some kind of bias for rejection of patients, and also suggests
2 to you, one of the big problems with dropouts is, when you end
3 up with a small cadre at the end, you can't be sure who this
4 is representative of, and how it differs from the actual
5 patients who were entered in the study, where we do have some
6 idea of their characteristics. So this comparison of these
7 techniques, if it works out all right, these two analyses can
8 be helpful there. Can we see the first slide?

9 This is to give you an overview, a summary, of the
10 NDA studies we're looking at. This is the three studies that
11 included placebo.

12 Now, as Dr. Leber said, protocol 27 was submitted as
13 a pool. I would like to show you here what the scores of the
14 individual investigators are, and then I'll show you another
15 slide, what the pooling itself looked like.

16 Now, in protocol 27, there were six investigators.
17 What I've shown here as well is the total group and the evalu-
18 able group. The total group is the clear section. The evalu-
19 able group gets a higher score. This is the change score, the
20 mean change score, for each group, and what you can see here
21 is that the total group -- that was including everybody, the
22 distance was not quite as large between the two treatments,
23 between baseline and completion, as they were in the evaluable
24 group.

25 Now, each study, each investigator -- I've shown

1 fluoxetine, imipramine, and placebo, in that order, across for
2 protocol 27.

3 For five of the six investigators, imipramine pro-
4 duced the most improvement, just on a rank-order basis, fol-
5 lowed by fluoxetine, followed by placebo. The sixth investi-
6 gator, who I moved right down to the end of protocol 27 there,
7 the last of the protocols I'll discuss in a minute, is Dr.
8 Cohen. His study found that fluoxetine was much more effec-
9 tive, and gave a much greater response than imipramine, and
10 again, than placebo, in this study.

11 Now, Dr. Cohen's study had a large number of dropouts
12 in the placebo and imipramine groups, which meant that the
13 significant endpoint analysis was largely a reflection of the
14 poor scores in the placebo group, which dropped at week two,
15 and compared with patients who got six weeks of fluoxetine,
16 so that, in effect, what was happening in Dr. Cohen's study in
17 the endpoint analysis was, we were considering two weeks of
18 treatment, with one group, versus six weeks of treatment with
19 another.

20 And, as you all know, we can't be sure, after two
21 weeks of treatment with depression, that you don't have an
22 active treatment.

23 Now, it could be said that the fact that they had to
24 drop all of the placebo patients so early, was a reflection of
25 something going on with fluoxetine, but it is also possible

1 that this precipitous removal of patients could have eliminated
2 the possibility of later responses.

3 Now, this combination of six investigators also pro-
4 duced a very large treatment by investigator interaction, and
5 we asked the sponsor to analyze the pooling, excluding Cohen.
6 Next slide?

7 What this is, is pooling for protocol 27, minus
8 Cohen. It's the three key depression variables -- there are
9 others, and I did it for the evaluable patients in the first
10 set, and for all patients in the second set.

11 You can see that, with the pooling, even excluding
12 Cohen, actually, they were highly significant, most of these,
13 and again, it's the same rank order as most of the studies,
14 with imipramine followed by fluoxetine, followed by placebo.
15 This was true for the Ham.D., the Raskin depression, and the
16 global improvement. It was also true in the all-patient
17 analysis.

18 Over on the right side, you can see the two protocols
19 that were submitted, that were fluoxetine versus placebo. The
20 first one was by Fabre -- a marked difference between fluoxe-
21 tine and placebo, very significant. The second study was
22 Rickels, and there was no significant difference, so Rickels,
23 we won't hear discussed for the rest of the day, probably.

24 I have also summarized these results in the third
25 slide. It's saying much the same thing, again, just in a

1 slightly different way. The material in the body content of the
 2 table -- if there were three pluses, that meant there was sig-
 3 nificant difference between the treatments on at least -- on
 4 all three key variables.

5 Now, the key variables were the Ham.D. retardation
 6 and total score, the Raskin total score, the CGI severity and
 7 change, and the Hopkins depression factor. So the three pluses,
 8 positive on all; two pluses, significant on at least three key
 9 variables, one plus is significant in scattered variables,
 10 zero is not significant in any variable, and of course, it
 11 wasn't applicable to the comparison with imipramine.

12 So here we can see that in the protocol 27, if you
 13 look down the first six studies, five of the six, we could
 14 approve for use today with no trouble at all. With fluoxe-
 15 tine, if we skip the individual studies, which we have to do,
 16 if you come down to the final line under protocol 27, this is
 17 the new pooling. This is the one I just said, where we asked
 18 them to exclude Cohen.

19 It does support both fluoxetine and imipramine. Dr.
 20 Fabre's study is highly positive for fluoxetine, and Dr.
 21 Rickels' wasn't.

22 Comparisons between imipramine and fluoxetine are
 23 shown in the third column. Dr. Cohen's study, as I mentioned
 24 already -- this was the only one where fluoxetine was signifi-
 25 cantly better or produced more improvement than imipramine.

1 There were two other studies, Dunner and the pooling,
2 where imipramine was significantly better than fluoxetine.

3 So I guess that's my talk for now. (Pause.) Should
4 I take questions now, or --

5 DR. LEBER: Yes, I would like to mention that, be-
6 cause of the -- there was some confusion about our need for
7 that meeting, in between the short agenda break, but in general
8 you can ask questions when a speaker is completed, or we can
9 wait until both FDA and the sponsor have presented, and then
10 have it during committee discussion, or if you feel there is a
11 matter you would like clarified, you can ask and interrupt
12 them. Nobody would really object.

13 I would hope we wouldn't start substantive discus-
14 sion, though, until everything has been on the table and pre-
15 sented.

16 Any clarifying questions?

17 (No response.)

18 The next presenter is Dr. George Chi, from the
19 Division of Biometrics, who has looked at the methods of analy-
20 sis, the models used, and will now present what we did and why
21 we did it with protocol 27.

22 DR. CHI: What I'm going to say is going to comple-
23 ment what I have written in the review, so if you have already
24 read the review, please bear with us for a few minutes.

25 I think Dr. Lee did a very good summary, and I think

1 she has said everything I wanted to say. So the only way I
2 can do is to overload you with a lot of figures.

3 The volumes of the fluoxetine application submitted
4 to the Division of Biometrics contain three randomized double-
5 blind parallel placebo-controlled studies, and twelve active
6 studies. Can I have the first slide, please?

7 And I shall focus here on the efficacy results of
8 the three placebo-controlled studies, namely, protocol 19 by
9 Fabre, protocol 25 by Rickels, and protocol 27, which is a
10 multicenter study. And as Dr. Lee mentioned, the Rickels
11 study did not differentiate between fluoxetine and placebo, and
12 hence will not be discussed further. May I have the next
13 slide, please -- well, not quite yet.

14 Throughout these studies, standard non-parametric
15 methods have been used, and weekly analysis, which is just
16 observed-cases analyses each week, and endpoint analysis, which
17 is the last observable carry-forward analysis, were performed
18 on all patients' data, as well as observable patients only
19 data.

20 In endpoint analysis, a patient's last available
21 visit was used. I shall focus mainly on the sponsor's results
22 based on available patients, and endpoint analysis of the
23 available patients, because generally the results based on all
24 patients' data are similar, as you will see in a couple of the
25 slides later.

1 The results of the weekly analysis will be used for
2 illustrating some of my arguments, and other details will be
3 found in my review.

4 Dr. Lee suggested to me that I should only look at
5 the following five efficacy measures. Can I have the next
6 slide? And these five efficacy measures are Ham.D total,
7 Ham.D retardation, Raskin depression, severity of depression,
8 and global improvement. Next slide, please.

9 Protocol 19 involved only investigator Fabre, so just
10 one investigator study. The endpoint analysis, based on the
11 available patients from this study, showed that fluoxetine --
12 oh, let me backtrack a little bit. This is a summary table for
13 all of the placebo-controlled studies. The top half of the
14 panel is for available patients only. And we have the five
15 efficacy measures in the first column, and you see that the
16 Fabre study was very significantly in favor of fluoxetine for
17 Ham.D total, Raskin depression -- I mean, for Ham.D total,
18 severity of depression, and global improvement, and marginally
19 so for Ham.D retardation.

20 The result is significantly more so for all patients
21 data, which is at the bottom half of the panel.

22 In the Rickels study, you see there is not much
23 difference between fluoxetine and placebo.

24 Now, on the right side of the table is the multi-
25 center study, protocol 27, and the first column corresponds to

1 the results for Dr. Cohen, and you see the results are very
2 significant, in fact, too significant to believe.

3 And for the other five investigators, there are
4 scattered significant among the various efficacy variables,
5 but if you look at the last row, you see that, numerically,
6 the predominant direction of comparison is in favor of fluoxe-
7 tine. Except for a few cases that are marked with asterisks,
8 those are the comparisons where fluoxetine is -- didn't do as
9 well as placebo, but then the P-values are not significant at
10 all.

11 Okay, next I will go into the details in the study
12 by Fabre and the multicenter study, next. Protocol 19 in-
13 volves only investigator Fabre, as I've mentioned already.
14 May I have the next slide, please?

15 The endpoint analysis, based on the available
16 patients from this study, show that fluoxetine is significantly
17 better than placebo in Ham.D total, severity of depression,
18 and global improvement, and marginally so in Ham.D retarda-
19 tion.

20 And this table gives you the results of the summary
21 for the five efficacy measures. The next table, please.

22 Similar results, as I mentioned before, were observed
23 with respect to all patients' data.

24 This table gives you the distribution of the last
25 available rates for available patients, and there is no

1 significant difference between the two treatment groups. Next
2 table, please.

3 This is the weekly comparison between fluoxetine and
4 placebo, based on available patients only. And you see that,
5 starting with week 1 through week 4, and in some cases in week
6 5, fluoxetine beat placebo, significantly in many cases, and
7 marginally so in most others. Next slide, please?

8 And this is the table which is based on all patients'
9 data for Fabre's study, and it indicates that fluoxetine is
10 much better than placebo in all five efficacy measures.

11 No major statistical issue arose with regard to the
12 design, conduct, and analysis of this study. The results of
13 the study appear to demonstrate the superiority of fluoxetine
14 to placebo.

15 Protocol 27 is a multicenter study. It contains also
16 imipramine, as you know. However, for the purpose of efficacy,
17 I shall only focus on the fluoxetine-placebo comparison.

18 The results for each investigator are listed in
19 table 1, which you have already seen. One observes that
20 Cohen's study provided an unusually strong positive indica-
21 tion for fluoxetine across all five efficacy measures. For
22 the remaining five investigators, even though fluoxetine was
23 generally numerically superior to placebo for most measures, as
24 I have already mentioned, only some marginally positive results
25 were observed in (inaudible). Could I have slide 7, please?

1 This table shows the results for Ham.D total, based
 2 on protocol 27, which is the endpoint analysis of available
 3 patients only. You see, by looking at individual investigator
 4 results, imipramine appeared to be better than fluoxetine,
 5 which in turn is better than placebo, but not statistically --
 6 there is no statistical significance between fluoxetine and
 7 placebo comparison, if you look at the last column, whereas,
 8 in Dr. Cohen's results, the significance reaches the level of
 9 .0001. May I have the next slide, please?

10 The significantly positive results of Cohen contri-
 11 bute to the highly significant treatment by investigator inter-
 12 action detected, at P=.0008. This may have prompted the spon-
 13 sors to present a separate analysis for each of the investi-
 14 gators. However, in view of the fact that the study was
 15 designed as a multicenter study, it would have been more
 16 appropriate to pool the data from the five investigators and
 17 analyze it separately from Cohen's.

18 This idea was conveyed to the sponsor, and I have
 19 discussed the results of Cohen and the results of pooled data
 20 in more detail.

21 So, the study for Cohen -- the endpoint analysis
 22 performed in the study gives the impression that fluoxetine
 23 is significantly superior to placebo, with a two-sided P-value
 24 of .0002. May I have the next slide, please?

25 Is that table 4? Yes, I need table 4. Thank you.

1 The first table on the right, the F versus P compari-
2 son -- the P-value, you see, is all less than .0002, as far as
3 all five efficacy measures. However, the weekly comparison,
4 which is the next table 5, reveals only scattered significance.
5 For F versus P, fluoxetine versus placebo, comparison at two
6 weeks is .01, and at four weeks, .06, and at six weeks, .1.
7 And for this comparison, it is .05 and .13, and there is no
8 significance in the other week, and some of these are quite
9 dose-marginal.

10 So, how does one account for such a discrepancy? You
11 find a very significant result in endpoint analysis, but you
12 don't find much significance when you look at a weekly analysis.

13 I guess you know the answer by now, and it lies in
14 the differential early termination rate observed among the
15 available patients, between fluoxetine and placebo, as well as
16 the imipramine group. Let's show the next slide, please,
17 table 6.

18 From this table, you can see that, if you look at
19 the number that's in the rectangle box, the total number of
20 available patients terminated before six weeks. There were
21 only 24 percent of the fluoxetine group, but there were 52
22 percent, and 69 percent, in the imipramine and placebo groups,
23 and the P-value is less than .005 for the fluoxetine group
24 and placebo comparison, and $P=.01$ for the fluoxetine and imi-
25 pramine.

1 So the differences came mainly into the -- well, may
2 I have the next slide, please? This slide compares the dis-
3 tribution of available patients by last week of available visits
4 between the three treatments, and you see that, in the second
5 week, 40 percent of placebo patients had their last available
6 visit, and only 9 percent of the fluoxetine group, and 29 per-
7 cent in the imipramine group. May I have the next slide,
8 please?

9 So we see that the differences mainly came from the
10 second week. Since about 40 percent of the evaluable placebo
11 patients were terminated after the second week, any comparison
12 of placebo at five and six weeks will be biased in favor of
13 fluoxetine, because it doesn't account for spontaneous improve-
14 ments in the placebo group.

15 On the other hand, an analysis based on just the first
16 two weeks of the trial is also of questionable validity,
17 because the patients have not received the full benefit of the
18 treatment.

19 So, the preceding discussion is also applicable to
20 all patients' data. And my conclusion for Cohen's study is
21 that it is difficult to draw any valid statistical inference,
22 based on the results of his study.

23 Next are the pooled data, pooling the five investi-
24 gators by excluding Cohen. For the pooled data, there appear
25 to be no significant differences in patient characteristics

1 between this patient population, and the pooled data in the
2 original total population. There is also no significant dif-
3 ference between treatment groups with respect to the baseline
4 characteristics that I could observe, and there is also no
5 significant treatment by investigator interaction. That is a
6 calculated $P=.08$, so that is very insignificant.

7 The endpoint analysis, based on the evaluable
8 patients -- may I have the next slide, please, table 7-A?
9 Right, thank you. The endpoint analysis, based on the pooled
10 data on the available patients, tells that fluoxetine is sig-
11 nificantly better than placebo, relative to all five efficacy
12 measures that are listed down this column, and in fact quite
13 significantly so.

14 May I also have the next slide, please? There was
15 also no apparent difference in time and frequency of early
16 termination. "Non-significant" refers to a P greater than .25.

17 There is a slightly larger percent here for placebo,
18 but there were no significant differences in a comparative
19 distribution. May I have the next slide, please?

20 In the weekly comparison, I asked the sponsor to
21 provide analysis only of weeks 3, 4 and 6. I think the result
22 of week 5 is probably similar.

23 So from the weekly analysis, you can observe that
24 fluoxetine also is significantly better than placebo at -- not
25 at week 3, but at week 4, possibly at week 5, and very

1 significantly so at week 6. It is true across all five effi-
2 cacy measures. May I have the next table, please?

3 And similar results can also be observed, based on
4 all patients' data, and based on the intent to treat analysis.
5 You see that the significance is similar to what we observed
6 earlier across all five efficacy measures.

7 May I have slide 15, table 10? Okay, this analysis
8 was done by the sponsor in the original submission. They did a
9 pooled analysis by pooling the five investigators, excluding
10 Cohen, and also excluding all patients with concomitant psycho-
11 tropic medication, and the results were also similar, but not
12 as strong as before.

13 So the results of the various analyses, based on the
14 pooled data, were significant, and were supportive of the effi-
15 cacy of fluoxetine.

16 In conclusion, then, based on the results of these
17 two placebo-controlled studies, and appropriate attention paid
18 to the differential dropout rate associated with Cohen's study,
19 there appears to be sufficient evidence to indicate the effec-
20 tiveness of fluoxetine in treating unipolar depression in out-
21 patients diagnosed as having primary major depressive disorders,
22 in either single or recurrent episodes, and that concludes my
23 presentation.

24 There is just one last thing I want to mention. I
25 think there were some tables to be passed out on the table

1 earlier, and I take it you have all picked them up. I think
2 those were tables submitted by the sponsor in the original
3 NDA, and I believe that format is very good, and I requested
4 permission from the sponsor to distribute it to the other manu-
5 facturers. And if you haven't picked them up, you can ask Eli
6 Lilly for a copy.

7 Thank you.

8 DR. DETRE. Thank you very much.

9 Ladies and gentlemen, how about a five-minute break?

10 (A short recess was taken.)

11 DR. LEBER: Are we on? Okay, we're back on the
12 record, I guess.

13 We would now like to continue the FDA's presentation
14 of its review of the fluoxetine NDA. The next section deals
15 with our assessment of its safety. Dr. Richard Kapit, psychia-
16 trist from our staff.

17 Dr. Kapit?

18 DR. KAPIT: Fluoxetine is a straight-chain phenyl-
19 propylamine, which selectively inhibits the uptake of serotonin
20 into neurons. It has little effect on noradrenergic or dopa-
21 minergic neurons.

22 Fluoxetine appears to be a relatively safe drug. In
23 the course of reviewing the fluoxetine NDA, we evaluated the
24 safety data of 1,427 individuals who were exposed to fluoxe-
25 tine in 46 studies.

1 The results of this analysis revealed no indication
2 of any clinically significant adverse effect which would pre-
3 clude the approval of fluoxetine for marketing.

4 It is necessary, however, to qualify this statement.
5 Since the submission of the fluoxetine NDA, additional data
6 have continued to accumulate as a result of ongoing studies of
7 fluoxetine, both in the U.S. and abroad. In consequence, the
8 NDA presently includes perhaps one-half to two-thirds of the
9 data currently available on fluoxetine.

10 It will be necessary to obtain and analyze an update
11 of the safety information on fluoxetine before it is possible
12 to make a final decision regarding approval.

13 Based on the subset of the total fluoxetine data
14 which has been reviewed by the FDA up to the present time, none
15 of the adverse effects identified were of sufficient magnitude
16 or severity to preclude marketing. However, it should be under-
17 stood that the size of the data base did not allow us to include
18 events that occur at incidences of less than about one in 200
19 exposures.

20 Furthermore, although 1,500 patients were exposed to
21 fluoxetine -- nearly 1,500 patients were exposed to fluoxetine,
22 as reported in this NDA, only 218 received the drug for more
23 than six months, while less than 100 continued to take it for
24 more than a year.

25 Clearly, the long-term experience with fluoxetine is

1 limited.

2 Turning now to the particulars of the data reported,
3 1,427 patients were exposed to fluoxetine. Of these, 92.4 per-
4 cent received 40 to 80 milligrams per day, and about one half
5 of them received the drug for more than two weeks, but less
6 than three months. One-third of the patients took the drug for
7 longer than three months, and one-sixth for less than two weeks.

8 The most significant of the adverse effects observed
9 were the induction of clinically serious dermatologic hyper-
10 sensitivity reactions, and the precipitation of psychotic epi-
11 sodes.

12 Though less immediately serious, fluoxetine does
13 appear also to cause significant weight loss in some patients.

14 Certain laboratory parameters may be affected by
15 fluoxetine. There were three cases of leukopenia below 3,000
16 reported in the NDA. In addition, there was a slight decline
17 in mean serum calcium level among patients exposed to fluoxe-
18 tine, but this did not appear to be clinically significant.

19 Each of these adverse clinical effects, as well as
20 some other findings, will now be discussed separately.

21 Twelve patients had dermatologic sensitivity reac-
22 tions. Two of these required hospitalization. These were the
23 case of an elderly woman with rash and fever, and the case of a
24 young woman with erythema multiforming. Both recovered.

25 Six of the 12 cases were probably caused by fluoxetine,

1 while the other six cases -- while, in the other six cases,
2 other drugs had concomitantly been prescribed. In one case, a
3 sequence of events in the challenge-dechallenge-rechallenge
4 pattern confirmed the etiologic role of fluoxetine.

5 Ten cases of psychotic episode occurred during fluoxe-
6 tine treatment. Most of these appeared to be the result from
7 the precipitation or the uncovering of manic affective illness.
8 This rate of recurrence of psychosis, in a sample of over 1,000
9 depressed psychiatric patients, that is, a rate of about 1 per-
10 cent, appears to be in line with that seen in other NDA's, and
11 it is possible that a number of these cases may result from
12 misdiagnosis, or spontaneously occurring new psychotic ill-
13 nesses, rather than from the effect of fluoxetine.

14 Among the patients reported in this NDA, this re-
15 viewer found three cases of white count below 3,000. Of
16 these three, only one patient experienced a persistent decline
17 of white count that was probably attributable to the drug.
18 Thus, fluoxetine does not appear to cause a large number of
19 cases of significant leukopenia. However, the time-adjusted
20 risk of leukopenia may be higher than these data suggest, and
21 calculation of this risk will require further analysis as more
22 data becomes available.

23 Also, it should be noted that recent information
24 from the company indicates that other cases of leukopenia may
25 have occurred since the submission of the NDA.

1 Fluoxetine did not appear to have significant effects
2 upon cardiograms or chest X-rays among the patients reported in
3 the NDA. However, 30 patients developed ophthalmologic abnor-
4 malities while being exposed to fluoxetine. Three of these
5 cases were described in the company's submission, and did not
6 appear to be drug-related abnormalities. Clearly, a full
7 report of the ophthalmologic findings of patients exposed to
8 fluoxetine is necessary, and the company has been asked to pro-
9 vide this information.

10 This new drug did show statistically significant
11 effects upon certain vital sign parameters, namely, body
12 weight, pulse, and blood pressure. Fluoxetine caused a reduc-
13 tion of weight not seen on other treatments. All other active
14 drugs used as controls -- imipramine, amytryptilene, and doxi-
15 pen, caused weight gain.

16 Patients on fluoxetine lost an average of 2-1/2 to 3
17 pounds during treatment. This effect may possibly be related
18 to the more prominent side effects produced by fluoxetine,
19 nausea and anorexia. In addition, fluoxetine simultaneously
20 caused small but significant lowering of both pulse rate and
21 blood pressure.

22 Mean pulse rate decreased a few, less than five,
23 beats per minute, while mean blood pressure levels declined a
24 few, less than five, millimeters of mercury.

25 The side-effect profile of fluoxetine differs

1 considerably from that of standard tricyclic drugs. Tricyclic
2 drugs are usually sedative and anticholinergic in their effects.
3 With fluoxetine, however, the only putative anticholinergic
4 effect experienced frequently was dry mouth, which occurred in
5 15 percent of patients. In contrast, nausea was experienced by
6 25 percent, but nausea was rarely accompanied by vomiting.

7 Although constipation did occur in 8 percent of flu-
8 oxetine patients, diarrhea occurred in 11 percent. Drowsiness
9 was experienced by 15 percent of patients, but nervousness was
10 experienced by 21 percent, and insomnia was a problem for 17
11 percent.

12 The side effect profile of fluoxetine appears to be
13 more that of a stimulant drug than do the profiles of the tri-
14 cyclic agents. The five most frequent adverse effects caused
15 by fluoxetine were nausea, 25 percent, nervousness, 21 per-
16 cent, headache, 18 percent, insomnia, 17 percent, and anxiety,
17 15 percent.

18 As noted previously, fluoxetine caused more diarrhea
19 than constipation, and in one controlled study of obese
20 patients, the drug demonstrated some efficacy as an appetite-
21 suppressing agent.

22 Statistical analysis of the safety data performed
23 by Dr. Chi revealed a statistically significant serum calcium
24 level among patients exposed to fluoxetine. Data from the NDA
25 indicate that mean serum calcium declined 0.09 milligrams per

1 deciliter, while the largest decline seen in any patient was
2 1.7 milligrams per deciliter. Thus, although the calcium
3 decrement was statistically significant, it does not appear to
4 have been clinically significant, nor is it clear what signi-
5 ficance to attach to this finding.

6 Possibly, fluoxetine's tendency to produce nausea,
7 anorexia, and weight loss leads in some patients to diminished
8 intake of calcium and/or Vitamin D, and that this is reflected
9 in a small decline in mean serum calcium levels.

10 It may be of interest briefly to describe the methods
11 of analysis used to evaluate the safety data submitted in this
12 NDA. Each individual safety summary was reviewed for each
13 study separately. Following this, early termination summaries
14 were inspected for each patient in a controlled study, who
15 began but did not complete treatment. Frequencies of adverse
16 clinical events among early terminators were calculated.

17 All individual laboratory test results were examined
18 for each patient who participated in a double-blind study. On
19 the basis of early termination summaries and lab test results,
20 a sample of about 50 cases were selected, and individual case
21 reports were reviewed for those 50 cases.

22 Cross-tabulation matrices were constructed for cer-
23 tain selected laboratory parameters which are often affected
24 by drug toxicity. Length of exposure to fluoxetine was com-
25 puted whenever possible for each patient exposed to fluoxetine.

1 Special summaries prepared by the company were re-
2 viewed, which included reports of cardiovascular, chest X-ray,
3 ophthalmologic, vital sign, and adverse effect data, and clinical
4 events requiring further comment.

5 I would like now to display a few tables, which will
6 highlight a few of the results of the safety review.

7 As indicated, these are the rates of early termina-
8 tion in 16 controlled studies. We have the number of patients
9 for each drug group at the top of the column, and then we have
10 the total early terminations, the terminations due to adverse
11 effects, terminations due to lack of efficacy, and the termi-
12 nations due to other causes.

13 Looking first at the total early termination rate,
14 we can see that the highest rates were highest for placebo, and
15 next for imipramine, and that the -- that fluoxetine was com-
16 parable to amitryptilene, and somewhat greater than doxiphen.

17 The rate of terminations due to adverse effect --
18 imipramine has the highest rate here, fluoxetine is comparable
19 to amitryptilene, and doxiphen, and the placebo group would
20 understandably have the fewest rate of terminations due to ad-
21 verse effects.

22 Terminations due to lack of efficacy -- no particu-
23 lar difference between the active drugs, but the placebo group
24 obviously has the highest rate due to termination for lack of
25 efficacy. Okay, the next transparency, please?

1 These are the adverse effects rates among the early
2 terminators. We looked at early terminators in detail, because
3 we felt that safety problems with the drug would most likely
4 show up in this group. And in this particular group, the N's
5 for each group, number of early terminators, were at the bottom,
6 and the numbers in parentheses refer to the percent of the N.

7 Now, I did my own grouping of adverse effects, in
8 which I grouped together similar terms, and some of that is
9 reflected in the labels of the categories at the left, and one
10 can see that the fluoxetine group had most frequent termina-
11 tion for anxiety, nervousness, for insomnia, dizziness, and
12 nausea.

13 Imipramine had the most frequent terminations for
14 dry mouth, 43 percent, sedation, dizziness, and other anti-
15 cholinergic effects. The placebo group, quite simply, had few
16 terminations for adverse effects. Next?

17 These are the ten most common adverse effects among
18 fluoxetine patients -- nausea, nervousness, headache, anxiety,
19 insomnia. The company separated nervousness and anxiety.
20 I believe this reflects the characterization that fluoxetine
21 is more of a stimulant.

22 It can be seen that certain adverse effects of fluoxe-
23 tine may create liabilities. In particular, fluoxetine causes
24 nervousness, insomnia, and diminished appetite and weight loss.
25 These are symptoms from which depressed patients frequently

1 suffer as a result of their primary illness, and it may be that
2 fluoxetine treatment may, at least temporarily, aggravate some
3 of these problems.

4 On the whole, however, fluoxetine appears to be a
5 relatively safe drug, and there is no problem that I cited
6 just a moment ago which could not be handled by lowering the
7 dose, perhaps, or changing the treatment.

8 Since it has been demonstrated that fluoxetine treat-
9 ment may be of significant benefit to patients suffering from
10 depression, it would appear that the benefits of fluoxetine
11 treatment substantially outweigh the risks associated with
12 taking the drug.

13 On the basis of the data submitted in the NDA, it may
14 be asserted that there was no indication that fluoxetine
15 causes any adverse effect of such severity or frequency as to
16 preclude the marketing of this agent.

17 DR. LEBER: Anyway, that concludes the formal pre-
18 sentations from our staff, and I think it's up to the chair to
19 decide whether they want to query us, or go on to the company.

20 DR. DETRE: Any questions from the committee? Yes?

21 DR. STANLEY: Dr. Stanley. I'd like to ask Dr.
22 Kapit just a few questions on that cluster of symptoms that he
23 had mentioned, the anxiety, the nervousness, the weight loss
24 and the insomnia, and I guess I would agree that that would be
25 kind of consistent with a stimulant -- a more stimulant-like

1 profile, and I was wondering if there were sufficient data at
2 this point to determine whether the differential effect occur-
3 ring within the more acute use, say, the patients within the
4 six-week trial, compared with -- I believe you said something
5 like a third of the patients have received fluoxetine for
6 longer than three months. Do you have a sustained weight loss
7 during this period of time, or sustained nervousness, or is
8 there any tolerance to these?

9 DR. KAPIT: Weight loss did not turn out to be a long-
10 term problem with the patients who were treated with major
11 depression. It was most significant in the study of obese
12 patients that was run by the company.

13 In particular, among geriatric patients, no geria-
14 tric patient was terminated for weight loss. One thing that
15 did emerge that was of interest in the long-term studies is
16 that the pool of long-term patients -- the most frequent rea-
17 son for termination was anxiety and nervousness, whereas in the
18 short-term studies, the most frequent reason was the nausea.

19 So it does seem that the anxiety -- the nervousness
20 is a problem that may not go away with time, and possibly
21 might get worse.

22 Was there some other point that you wanted to
23 address?

24 DR. STANLEY: Yes, the related -- I guess the insom-
25 nia, whether there was a tolerance.

1 DR. KAPIT: I don't have any indication as to whether
2 insomnia -- it didn't seem to change, long-term versus short-
3 term.

4 DR. STANLEY: Okay, and do you have any information
5 on the relative proportion of individuals receiving either
6 fluoxetine or a reference compound, that were receiving addi-
7 tional psychotropic medication, maybe to control nervousness.
8 I mean, is it more than it appears already?

9 DR. KAPIT: I don't have that information at hand.

10 DR. LEBER: Dr. Lee may have it.

11 DR. LEE: I think that's something you should ask
12 the sponsor to display. There was one point in the pooling
13 that I showed you, the first pooling, where Cohen was still in
14 the study, and someone pointed out to me that we had it only
15 as a slightly positive trial, and when we took Cohen out, it
16 became highly positive, and I was asked, why did this happen?

17 One thing that the sponsor did, and perhaps should
18 explain to us, but it might be related to your question. They
19 took out everybody from the pooling, when they analyzed it, who
20 received concomitant medications, even if these were concomi-
21 tant medications that were allowed by the protocol.

22 So I don't know if I want to speculate on it, but
23 there might have been some sense that the concomitant medica-
24 tions were helping patients deal with the side effects, and to
25 get a truer picture of the drug effect when you take them off.

1 DR. LEBER: One clarification, Hillary. We did have
2 an all-patients analysis, so that they may have done a pooling
3 without Cohen that was also an all-patients pooling, and it
4 would have included those, as well --

5 DR. LEE: Yes, we --

6 DR. LEBER: -- because those results weren't changed.

7 DR. LEE: We've brought all that, yes.

8 DR. LEBER: So I don't think it affected the efficacy,
9 but it may be that you're looking at an adjunctive effect of
10 the --

11 DR. LEE: Yes.

12 DR. LEBER: -- some other concomitant med. that makes
13 it easier to use this drug, and this isn't the first time we
14 may have seen that.

15 One other comment I would like to make, because I
16 think Rich sort of answered the question, but perhaps didn't
17 lay out what the ground rules would be.

18 It is rare that we have anything in NDA analyses that
19 tells us what the time distribution of risks are. I think it
20 is something we would like to get, and certainly, as I men-
21 tioned earlier, it is nice to adjust risks for their time of
22 occurrence and the number of patients at risk at that time.

23 Issues of tolerance, number of patients at risk, and
24 when they have not been formally looked at, and I think the
25 answer is, we don't really know. It appears that, as Rich

1 Kapit said, to be a clinically important problem.

2 On the other hand, whether tolerance develops to the
3 anxio-induction or anxiogenic effects, I think that might be
4 easily assessible by looking at what the reports are on a week-
5 ly basis, over the number of patients who are still in the
6 study, unless investigator behavior changed, and we don't know
7 whether they continue to report as they reported the first time.
8 But we might try to look at that, and also ask them to discuss
9 that. We don't really have hard data on that.

10 DR. DETRE: But I understand we have some data on
11 what percentage of patients in the various trials received con-
12 comitant medications, right?

13 DR. LEBER: Yes, we do.

14 DR. DETRE: Anybody, any other questions?

15 (No response.)

16 Dr. Leber, who comes next?

17 DR. LEBER: I believe the floor is turned to Eli
18 Lilly.

19 DR. DETRE: Okay.

20 Just for the record, would you kindly state your
21 name?

22 DR. THOMPSON: Leigh Thompson. Perhaps we can sum up
23 by saying we agree with the very comprehensive analysis of Dr.
24 Leber and his staff, Dr. Lee, Dr. Chi, and Dr. Kapit. It would
25 be inappropriate, however, not to have at least a brief summary

1 of some of the science of this drug. It has been a long time
2 since July of 1972, when Dr. David Wong discovered the speci-
3 ficity of the action of fluoxetine, and we would like some of
4 the scientists who participated in the development of the
5 fabric of the role of serotonin neurobiology to have an oppor-
6 tunity to describe some of their science.

7 Beginning that will be Dr. Ray Fuller, who has been
8 the needle weaving through the fabric of neurobiology, the
9 thread of serotonin in discovering the role of fluoxetine, and
10 Dr. Fuller will describe some of the basic studies.

11 DR. FULLER: Well, I am going to talk about the ani-
12 mal pharmacology of fluoxetine, that led us to be interested
13 in it as an antidepressant drug.

14 The structure of fluoxetine is shown here, and as
15 you have heard, it differs from most of the earlier anti-
16 depressant drugs that contained a few three-ring systems,
17 hence their name, tricyclic drugs, and some of the differences
18 between fluoxetine and those drugs may relate to their struc-
19 tural dissimilarity.

20 The preclinical pharmacology of fluoxetine showed
21 that it is a highly selective inhibitor of serotonin uptake,
22 without other detectable pharmacological effects. For example,
23 it does not inhibit norepinephrine uptake in vitro, except at
24 higher concentrations, and in vivo it blocks serotonin uptake
25 without any effect on catecholamine uptake.

1 Secondly, it blocks uptake without affecting any
2 neurotransmitter receptors directly, and I will describe the
3 kinds of evidence from animal studies that support these state-
4 ments.

5 Fluoxetine inhibits the uptake of serotonin in vitro
6 by right-brain synaptosomes, producing 50 percent inhibition
7 at a concentration of 70 nanomolar. In contrast, concentra-
8 tions about 100 times higher are required for inhibiting the
9 uptake of norepinephrine or dopamine, and at the doses that are
10 used in vivo, fluoxetine only inhibits the uptake of serotonin
11 without inhibiting the uptake of catecholamines.

12 Evidence for that comes from several kinds of animal
13 studies. From the most direct experiments, that we refer to as
14 ex vivo experiments, synaptosome is prepared from the brains
15 of animals treated with fluoxetine in vivo, picked up less
16 serotonin in vitro, whereas there is no inhibition of norepi-
17 nephine or dopamine uptake.

18 Evidence that is entirely in vivo comes from experi-
19 ments with depleting drugs like parachloroamphetamine, H-7512,
20 and fluoramine, which deplete serotonin by a mechanism that
21 requires the function of the uptake carrier. So their deple-
22 tion of serotonin is blocked by fluoxetine, as well as by
23 other serotonin uptake inhibitors.

24 Now, in contrast, fluoxetine does not block cate-
25 cholamine depletion by drugs like 6-hydroxydopamine, H-7777, or

1 alphas-methyl pyridine, which deplete catecholamines via an
2 action that requires the uptake carrier on catecholamine neu-
3 rons. Again, fluoxetine does not block their effect, but it
4 does block the depletion of serotonin.

5 So, fluoxetine doses of 10 milligram per kilogram or
6 less, in laboratory animals, block the uptake carrier on sero-
7 tonin neurons, not the uptake carrier on norepinephrine, dopa-
8 mine, or epinephrine neurons in the brain.

9 This is a representation, a diagram, of what goes on
10 in a serotonergic synapse. The serotonin neuron, at left,
11 synthesizes serotonin within the nerve terminal. Serotonin is
12 abbreviated here, 5HT, for 5-hydroxytryptamine.

13 That serotonin is stored in granules or vesicles
14 from which it is released, at nerve impulse, into the synaptic
15 cleft, where it acts on receptors, like the post-synaptic
16 receptor, to complete the process of neurotransmission across
17 this synapse.

18 Serotonin is then inactivated by being taken back up
19 out of the synaptic cleft, into the serotonin neuron that
20 released it, through the action of specific membrane carriers.

21 Fluoxetine inhibits this uptake process, resulting in
22 a prolongation of the serotonin action in the synaptic cleft
23 on the synaptic receptors.

24 Now, I have described the evidence that fluoxetine
25 does inhibit this uptake carrier in vivo. How do we know that

1 the concentration of serotonin in the synaptic cleft is actu-
2 ally increased, and that inactivation of synaptic receptors is
3 increased after fluoxetine treatment?

4 There are no methods for measuring directly the con-
5 centrations of serotonin within a finished synaptic cleft,
6 but cytofluorometric analyses, and also in vivo voltimetric
7 analyses, have indicated that extraneuronal concentrations of
8 serotonin in brain are increased after fluoxetine, and there
9 are extensive animal data indicating that the activation of
10 synaptic receptors is increased by fluoxetine, due to the
11 increased action of serotonin on those receptors.

12 For example, fluoxetine decreases serotonin
13 turnover, as measured neurochemically by several different
14 methods, and as an electrophysiological correlate to that, the
15 firing of single neural units in the serotonin-rich midbrain,
16 region of the brain, is decreased by fluoxetine.

17 This decreased firing of serotonin neurons and
18 decreased serotonin turnover presumably results from increased
19 serotonin stimulation of synaptic receptors, possibly, in that
20 case, pre-synaptic autoreceptors.

21 Now, there is no gross disruption of behavior that is
22 seen with fluoxetine. For example, there is no change in loco-
23 motor activity, but certain serotonin-related behaviors are
24 affected.

25 For example, fluoxetine suppresses neurocidal

1 activity in rats. It propitiates the 5-hydroxy-tryptophane
2 induced head twitch in mice. It reduces limb sleep, and poten-
3 tiates the 5-HTP reduction of limb sleep in rats and in cats.
4 It reduces food intake -- that's basically carbohydrate in-
5 take, and also reduces ethanol intake, or acts synergistically
6 with 5-hydroxy-tryptophane.

7 Fluoxetine also produces certain neuroendocrine
8 effects that are characteristic of serotonergic drugs, such
9 as an increase in serum corticosterone concentration through
10 increasing CRF and ACTH release, and potentiation of the 5-
11 hydroxy-tryptophane in elevation of serum prolactin concentra-
12 tion.

13 Other indications of enhanced serotonergic function
14 after fluoxetine treatment of animals, includes potentiation
15 of the analgesic effect of morphine, and potentiation of the
16 antihypertensive effect of 5-hydroxy-tryptophane.

17 Fluoxetine has found widespread use in animal
18 experimentation, as a drug for selectively enhancing seroto-
19 nin function.

20 Now, in all of the animal studies, the duration of
21 uptake inhibition and the functional changes that result from
22 that, was very long. In rats, for example, a single 10 mg/kg
23 dose of fluoxetine inhibits serotonin uptake, and produces
24 effects like (inaudible) for more than 24 hours.

25 This long duration is due to the persistence of the

1 demethylated metabolite, norfluoxetine, which is as potent and
2 selective an inhibitor of serotonin uptake as fluoxetine itself.

3 And I want to emphasize that particular point. This
4 slide compares fluoxetine to two other serotonin uptake-inhi-
5 biting drugs, torimipramine and zimelidine. All three of
6 these drugs inhibit serotonin uptake in vitro, and all three
7 are metabolized by indemethylation in vivo.

8 The influence of that metabolism on their pharmaco-
9 logic activity is very different, however. Norfluoxetine,
10 like fluoxetine, is a potent and selective inhibitor of sero-
11 tonin uptake, so metabolism does not influence the selectivity
12 of uptake inhibition.

13 The activity of the metabolite accounts for the long
14 duration of uptake inhibition after a single dose of fluoxe-
15 tine.

16 Fluordisipramine (ph.), in contrast to its parent
17 drug, preferentially inhibits norepinephrine uptake, so the
18 selectivity of fluorimipramine as a serotonin inhibitor is
19 lost by metabolism.

20 Norzimelidine is a more potent serotonin uptake inhi-
21 bitor than zimelidine, and norzimelidine brain levels are much
22 higher than those of the parent drug, even at early times, in
23 rats.

24 If this metabolism is blocked, efficacy is decreased.
25 So metabolism is necessary for maximum efficacy of zimelidine.

1 Metabolism destroys the selectivity of fluorimipramine, whereas
2 metabolism does not influence the pharmacologic specificity of
3 fluoxetine, but only ensures a long duration of action.

4 Now, an important thing that fluoxetine does not
5 do is to have affinity for receptors, like cholinergic, hista-
6 minergic, and adrenergic receptors. Many antidepressant
7 drugs, basically the tricyclics, block these receptors. Ami-
8 tryptilene, as shown by these radiologic binding data, has
9 high affinity for the muscarinic-cholinergic receptor, or the
10 histaminergic H-1 receptor, and for the alpha-1 receptor.

11 Blocking these receptors is believed to be associated
12 with side effects, clinically.

13 Other receptors, like the serotonin 5-HT2 receptor,
14 are also blocked by amitryptilene and several other antidepres-
15 sant drugs.

16 Fluoxetine, in contrast, has little affinity for any
17 of these receptors, or others that have been tested. As a
18 rule of thumb, I would consider that IC-50 values of greater
19 than 1,000 nanomolar, probably means that there is no signi-
20 ficant effect on these receptors in vivo.

21 Fluoxetine certainly does not have these effects of
22 anticholinergic drugs or alpha-blocking drugs in vivo, in
23 animals.

24 Another effect of tricyclic antidepressant drugs is
25 their effect on the heart, to produce an increase in the heart

1 rate and changes in the ECG.

2 Fluoxetine was compared to amitryptilene in anesthe-
3 tized dogs by infusing the drugs intravenously, over time, to
4 take blood levels up to higher than are found at therapeutic
5 doses clinically. Amitryptilene increased heart rate, and
6 decreased both volume, mean arterial pressure, and cardiac
7 contractility.

8 Amitryptilene also slowed both intramyocardial and
9 infranodal induction, as indicated by increases in the QRS
10 duration, the PK interval, and the HV interval, as other tri-
11 cyclic drugs were well-known to do.

12 Neither fluoxetine nor norfluoxetine had any major
13 effect on heart rate, blood pressure, or other cardiovascular
14 parameters, including the ECG.

15 Again, these cardiovascular effects of tricyclic
16 drugs are well-known, and are attributed to anticholinergic and
17 anti-alpha-1 effects, as well as to direct quinidine-like
18 effects on the heart, and fluoxetine did not have these effects.

19 So, in summary, the animal data have shown that flu-
20 oxetine selectively inhibits serotonin uptake, and lacks direct
21 actions on neurotransmitter receptors, as well as direct car-
22 diac effect.

23 Those characteristics of the drug are what led us to
24 be interested in it as an antidepressant drug and to test it
25 clinically.

1 DR. THOMPSON: Dr. Lou Lemberger was recently presi-
2 dent of the American Society for Clinical Pharmacology and
3 Therapeutics. Dr. Lemberger has guided the research, both in
4 clinical pharmacology and other clinical therapeutics, since
5 the beginning of the story of fluoxetine.

6 DR. LEMBERGER: Thank you.

7 As Dr. Fuller has just discussed the preclinical
8 pharmacology of fluoxetine, which was of interest to us, and
9 so we tried to confirm and further demonstrate the activity of
10 this compound in clinical pharmacology.

11 Fluoxetine inhibits the uptake of tritiated seroto-
12 nin into human platelets, in vitro and in vivo. It has no
13 effect on catecholamine uptake in man at clinically effective
14 doses, which I will show in a few slides, and it maintains its
15 specificity for inhibition of serotonin uptake after chronic
16 administration.

17 Its normetabolite is also a specific inhibitor of
18 serotonin uptake.

19 In this slide, fluoxetine was given at 30 milligrams
20 a day for seven days, and then at 20 milligrams a day for the
21 remaining 23 days. You can see that the fluoxetine levels in-
22 creased, and norfluoxetine levels increase. When the drug is
23 discontinued, the blood levels disappear.

24 If one looks at the uptake of tritiated serotonin
25 into platelets which have been harvested from these patients at

1 various times, you can see that, early on, there is an inhibi-
2 tion of uptake which is maintained throughout the period of
3 drug administration. When the drug is discontinued, the abi-
4 lity of the platelets to take up serotonin returns towards
5 normal.

6 This should read, "endogenous levels of serotonin in
7 platelets." And when fluoxetine is administered, endogenous
8 levels of serotonin in platelets decrease, because the platelet
9 has no biosynthetic mechanism, and accumulates the serotonin
10 from the circulation, but as the drug is discontinued, this
11 again returns towards normal.

12 To demonstrate the specificity of fluoxetine as a
13 serotonergic uptake inhibitor, and the lack of effect on nor-
14 adrenergic systems, we compared that generated with fluoxetine
15 with earlier studies with nisoxetine, a clinical investigation-
16 al new drug. We looked at changes in blood pressure on the
17 drug, at the rate of change in blood pressure on placebo, and
18 by definition, the placebo value is 1.

19 And if one infuses norepinephrine into patients,
20 one would expect, with a specific norepinephrine uptake inhi-
21 bitor, to get an increased sensitivity, as was demonstrated on
22 this slide.

23 One requires much less norepinephrine to get the same
24 blood pressure effect, whereas with fluoxetine, you see, there
25 is basically no change in response to norepinephrine.

1 Similarly, if one gives tyramine, which must be taken
2 up by the nerve ending, the noradrenergic nerve ending, to
3 release norepinephrine, using the same ratio -- placebo here
4 would be 1, one sees a decrease in the responsiveness of tyra-
5 mine, and one needs a larger dose of tyramine to get the same
6 blood pressure effect in the presence of a specific norepi-
7 nephine inhibitor. But in the presence of fluoxetine, there
8 is basically no effect of this compound on the noradrenergic
9 system.

10 We did a study where we looked at fluoxetine admini-
11 stration chronically, given fluoxetine at a dose of 60 milli-
12 grams daily for 45 days. We measured both the amount of nor-
13 epinephrine to raise levels of blood pressure, and also the
14 amount of tyramine to raise blood pressure.

15 You can see, the open bars are the norepinephrine
16 data. This is the placebo period, this is the fluoxetine
17 period, and when the drug is discontinued, there is no change
18 in the sensitivity of the noradrenergic system.

19 Likewise, similar data is seen with the tyramine
20 administration, indicating that there is no effect of both flu-
21 oxetine or its normetabolite, which builds up at that time, or
22 any other metabolites, as a matter of fact, on the catechola-
23 mine system, again indicating specificity.

24 Now, if one looks at the physiologic disposition of
25 fluoxetine, and predominantly its absorption, the drug is well

1 absorbed after oral administration. Its relative bioavaila-
2 bility approaches 100 percent, and peak plasma levels occur at
3 about six to eight hours.

4 There is no effect of food upon the overall absorp-
5 tion, that is the area under the curve, of fluoxetine, although
6 the rate of absorption and the time to reach the peak plasma
7 concentration are somewhat delayed. Plasma concentrations
8 demonstrated dose proportionality.

9 We administered C-14-labeled fluoxetine, and demon-
10 strated that one could collect approximately 80 percent excre-
11 ted in the urine, approximately 14 percent in the feces, over
12 a specific time period.

13 If one looks at the metabolites, fluoxetine and its
14 glucuronide are excreted unchanged in the urine to about 18,
15 19 percent. Predominantly, the majority of the material goes
16 on to norfluoxetine, which, again, norfluoxetine and its glucu-
17 ronide are excreted in the urine, and a large portion of it
18 is present as puric acid. The label is in this position of
19 the molecule, and one isolates a label on the puric acid. We
20 have isolated about 55 percent of the metabolite. We are in
21 the process of working on these intermediates, to isolate them
22 in large enough quantities to do a final determination.

23 If one looks at the distribution and size of pharmar-
24 cokinetics, fluoxetine possesses a long terminal half-life
25 As Dr. Leber mentioned before, the half-life is one to four

1 days, with a mean of about two days after single oral doses,
2 and approximately four days after chronic administration.

3 Norfluoxetine is an active metabolite. It also has
4 a long terminal half-life of about four to fifteen days, with a
5 mean of about seven days.

6 Two to four weeks are required to achieve steady-
7 state. Patients receiving the drug for greater than one year
8 display similar kinetics to normal volunteers, who have
9 achieved steady-state within five weeks.

10 Fluoxetine is highly bound to the lipoproteins,
11 greater than 90 percent, and it is not displaced, nor does it
12 displace, a variety of other drugs which we have looked at.

13 If we look at the pharmacokinetics in special popula-
14 tions, the kinetics are similar in normal volunteers. In
15 healthy elderly volunteers receiving single doses of fluoxe-
16 tine, the kinetics are similar, in elderly depressed patients
17 receiving the drug chronically, and in normal volunteers re-
18 ceiving multiple doses of fluoxetine in order to achieve
19 steady-state.

20 After single doses, the kinetics of fluoxetine are
21 similar in normal volunteers, and in patients with varying
22 degrees of renal impairment and hepatic cirrhotic patients.
23 Studies among these are currently in progress.

24 We looked at a variety of drug interactions, and this
25 is a basic outline, where we had a control baseline period.

1 They received a test drug and the drug in question, whether it
2 was warfarin, butamide and so on. They had a washout period.
3 The kinetics of the test drug were measured here. Fluoxetine
4 at either 30 or 60 milligrams was given, and then the test drug
5 was administered. This was single-dose, and then fluoxetine
6 was given at eight doses, and the test drug again given.

7 This was done not only to study the kinetics of the
8 effect of fluoxetine on drug interactions, but also the meta-
9 bolites that may build up during that time frame.

10 This slide just summarizes the various drugs that we
11 looked at in detail. We chose drugs that would be a prototype
12 of different metabolic reactions -- aromatic hydroxylation,
13 oxidation, endoxidation, side chain oxidation, glucuronida-
14 tion and so on, and also we looked at two drugs that affect
15 protein binding.

16 The effect of fluoxetine on the test drugs showed
17 no difference in the blood levels, either after single-dose
18 or after eight days' administration of fluoxetine, on the test
19 drug, and the test drugs had no effect on fluoxetine blood
20 levels.

21 We also, in all of these cases where applicable,
22 looked at the pharmacologic parameters. For example, in the
23 case of warfarin, we saw no change in the total time. In the
24 case of parabutamide, we saw decreases in glucose and increases
25 in insulin with the parabutamide, and there was no change with

1 fluoxetine.

2 We looked at psychomotor studies in diazepam and
3 ephrinol, and again, fluoxetine, either in single doses or mul-
4 tiple doses, did not affect the psychomotor performance with
5 either ephrinol or diazepam.

6 So, in summary, clinically, fluoxetine is a selec-
7 tive inhibitor of serotonin uptake. It is well absorbed, and
8 its overall absorption is not affected by food, and it demon-
9 strates dose proportionality. It is excreted primarily in the
10 urine, about 80 to 85 percent, and it is excreted as the
11 parent drug and polar metabolites.

12 Fluoxetine and its normetabolite, norfluoxetine,
13 have long half-lives, approximately two days and seven days
14 respectively, and are highly bound to plasma protein.

15 After single oral doses, fluoxetine displays similar
16 kinetics in the elderly, renally impaired, when compared to
17 normal volunteers.

18 Fluoxetine does not appear to give any clinically
19 significant interactions with a variety of test drugs.

20 Thank you.

21 DR. LEBER: Lou, I'd like to make a point, though.

22 DR. LEMBERGER: Yes?

23 DR. LEBER: I think that we had not intended to
24 discuss in any detail several of the points that you're raising
25 about the metabolism of fluoxetine. It's not that they may not

1 be so, but some of the points raised, for example, about dose
2 proportionality, may be questioned, and although we have not
3 yet finished our formal review -- and so I'm really just saying
4 to the committee, we had not intended to discuss this. What
5 you hear presented by Dr. Lemberger, comprehensively and
6 clearly, is the firm's interpretation of data they have seen,
7 and we have yet to critically analyze or have pass a super-
8 visory review.

9 There may, in fact, be disagreements on issues that
10 I am not even aware of yet. For example, the influence of
11 food on the availability of the drug -- I think in particular
12 the dose proportionality claim, I already know, we believe is
13 not so. And there is some evidence to show non-linearity,
14 and that is, as the dose increases, the dose-corrected AUC
15 goes up in disproportion for the parent drug.

16 And so I think those are issues that we don't want
17 to discuss in front of this committee, but we will probably do
18 it afterwards, in terms of working with our pharmacokinetic
19 expert.

20 DR. LEMBERGER: Okay. When we were asked to present,
21 we were not sure that this was the case. One of the issues
22 that you did bring up was the norfluoxetine, and therefore we
23 felt somewhat obligated to try and address this.

24 DR. LEBER: Well, I think you have a right to pre-
25 sent the data. I was simply providing that little boxed

1 warning to suggest to everybody that this is your view, and
2 there is nothing wrong with saying that your view is correct.
3 In fact, it may be precise, accurate and to the point.

4 But I want everyone to understand that our group has
5 not candled the egg with the same degree of intensity that we
6 looked at the efficacy data, and I think that is just impor-
7 tant for -- I can't make an informed criticism, or even cri-
8 tique, and I don't think we want to do it at this point. So,
9 just as long as the record --

10 DR. LEMBERGER: Okay. Thank you.

11 DR. THOMPSON: Dr. Robert Zerbe is director of neuro-
12 endocrine research at Lilly, and has guided many of the clini-
13 cal studies, including some of the more recent ones that Dr.
14 Leber referred to.

15 His presentation, however, will be restricted to
16 studies that you have seen, that were presented in the NDA, and
17 will be very similar to the analysis of Dr. Lee and Dr. Chi,
18 with one exception, and that is that, although we have excluded
19 in the NDA the pooling of the investigator that was mentioned,
20 it is our belief that that study was done appropriately, under
21 double-blind conditions, and we excluded it because, statis-
22 tically, it showed a treatment by investigator interaction.

23 DR. LEBER: By the way, that is a good point, and I
24 think I ought to clarify something for the record, too.

25 Dr. Chi said, I believe, if I'm not misquoting him,

1 that it was difficult to believe data, and maybe that's what
2 everyone is picking up on, in that particular subset.

3 I think what he meant was that a clue to the fact
4 that it didn't fit with the rest of the pooling was the fact
5 that the P-values for the Cohen study, and the direction of the
6 differences between treatments, were markedly different, and
7 this was a signal to look further into the data, not -- and I
8 emphasize, not to question the integrity of the investigator
9 or anybody else.

10 It was simply an analytical clue, and if you're
11 speaking to that, I felt we ought to correct it before you do.

12 DR. ZERBE: Thank you.

13 This presentation deals with the efficacy of fluoxe-
14 tine, and the efficacy analysis that will be presented today is
15 based on eight placebo-controlled studies, and we feel demon-
16 strates exactly the conclusions that have already been reached
17 by the FDA, that is, that fluoxetine is significantly better
18 than placebo in the treatment of patients with major depres-
19 sive disorder.

20 The study design used in these investigations is
21 shown here. All of the studies to be described today were
22 started with a one-week, single-blind, placebo treatment
23 period, to identify transient placebo-responsive depressive
24 problems.

25 If, during this period, the Hamilton depression scale

1 rating fell by more than 20 percent, the patients were excluded
2 from participation in the trial.

3 Patients who failed to respond to one week of placebo
4 therapy were randomized to treatment groups for double-blind
5 evaluation of efficacy.

6 In six of the eight studies, three treatment groups
7 were used. These included fluoxetine, in doses of 20 to 80
8 milligrams per day, placebo, and imipramine, in doses of 75 to
9 300 milligrams per day.

10 In two of the eight studies, only fluoxetine and
11 placebo were compared. The study treatment period was six
12 weeks in the three-celled studies, and five weeks in the two-
13 celled studies.

14 Patients selected for participation in this protocol
15 were limited to adult outpatients with major depressive dis-
16 order, as determined by the DSM-III criteria. Only patients
17 with unipolar depression were included in the studies. A score
18 of at least 20 on the 21-item Hamilton psychiatric rating scale
19 for depression was required, as was a score of 8 on the Raskin
20 depression scale.

21 To eliminate patients who had primarily anxiety, the
22 Raskin score was required to equal or exceed the Cobe anxiety
23 scale.

24 The dose of active medication was rapidly escalated
25 to daily levels which were felt to be therapeutic. The

1 escalation could be halted by the physician if efficacy was
2 demonstrated at lower doses, or adverse events limited further
3 increases in dose.

4 Thus, with fluoxetine, the starting dose of 20 milli-
5 grams was increased over one week to maintenance doses of 40
6 to 80 milligrams.

7 In the six three-celled studies, including imipra-
8 mine, imipramine was started at doses of 75 milligrams, and
9 was increased to 100 to 150 milligrams after one week. Further
10 increases were allowed each week to a maximum of 300 milligrams
11 per day.

12 The number of patients entering the various treat-
13 ment groups of each study were essentially equivalent, except
14 the study of investigator 3, which by design had a smaller
15 placebo treatment group. Two of the six three-celled studies
16 had over 50 patients in each of the treatment arms.

17 A total of 279 patients received fluoxetine, and
18 276 received placebo.

19 The demographic characteristics of the patients par-
20 ticipating in the studies were not different between groups.
21 Shown in the top two rows are the characteristics of the
22 patients who participated in the eight studies which compared
23 fluoxetine and placebo. In the bottom row are the charac-
24 teristics of the imipramine-treated group, from the six studies
25 which included an imipramine treatment arm.

1 One can see that the groups are quite similar in mean
2 age, as well as age range, the percent of female participants,
3 and the mean Hamilton depression score at baseline.

4 Only evaluable patients were used in the efficacy
5 assessments to be presented today. Patients were not considered
6 evaluable for the following reasons: first of all, a break in
7 therapy, defined as more than two days, or three doses of
8 missed medication in the first two weeks, two or more missed
9 office visits, protocol exclusion, or insufficient duration of
10 therapy, defined as less than two weeks of study drug.

11 These criteria were defined by the protocol prior to
12 initiation into the study.

13 Shown here are the changes in Hamilton depression
14 scores for the three treatments. For fluoxetine and placebo,
15 numerical results are pooled from all eight studies. The imi-
16 pramine data include results from only the six three-celled
17 studies.

18 In the fluoxetine group, 195 patients were considered
19 evaluable. These patients had a mean decrease in their
20 Hamilton depression score of 12.55. This compared favorably
21 to the placebo group, which had a decrease of only 7.52.

22 In the smaller group, treated with imipramine, the
23 decrease in Hamilton depression score was also much greater
24 than with placebo. Thus, the change in Hamilton depression
25 scores observed in these studies indicates that fluoxetine is

1 superior to placebo, and comparable to imipramine, in the treat-
2 ment of depression.

3 A statistical analysis is provided in the next slide.
4 Shown here are the results of the eight individual studies.
5 At the top, the results of the individual three-celled
6 studies, and at the bottom, the results of the two two-celled
7 studies.

8 Mean improvement is shown on the left, and compara-
9 tive probabilities on the right. Note that two studies stand
10 alone in demonstrating the efficacy of fluoxetine over placebo,
11 investigator 2, which incidentally is Dr. Cohen, as previously
12 mentioned, in the three-celled group, and investigator 7 in
13 the two-celled group.

14 The data from the six three-celled studies, having
15 been generated from the same protocol, could have been pooled.
16 Investigator 2, however — that's Dr. Cohen, appeared to be
17 different, in that the placebo group was less responsive, and
18 the fluoxetine group more responsive, than respective groups
19 in the other five studies.

20 Therefore, to ensure against possible bias intro-
21 duced by an unusually favorable result, we will confine our
22 discussion of pooled data to those including only the five of
23 the six three-celled studies, that is, excluding investigator
24 2. Analysis of such a five-celled pool on the Cobe-Hamilton
25 depression score indicates, as you have already seen, that

Analysis of 5 three-celled studies, 1972
Dr. Cohen

1 fluoxetine is superior to placebo, the probability of alpha
2 error being 0.014.

3 Similar results are obtained from the clinical global
4 impressions, in which the investigators were asked to rank the
5 severity of the depression at each visit, on a scale of 1,
6 which indicated no depression, to a scale of 7, which indica-
7 ted maximum depression.

8 Again, in the overall pool, both fluoxetine and
9 imipramine are numerically more efficacious than placebo, and
10 comparable to each other.

11 Individual study results for improvement in the
12 rating of depression severity are shown here. In three
13 studies, 2, 5 and 7, the results demonstrate significantly
14 more improvement with fluoxetine than placebo. In two other
15 studies, 3 and 6, there was a borderline significant differ-
16 ence.

17 The pooling of five three-celled studies, excluding
18 investigator 2, showed superiority of fluoxetine over placebo
19 with a probability of alpha error equal to 0.002.

20 The clinical global impressions of improvement over
21 the pre-treatment period were also assessed. Investigators
22 ranked improvement on a 1 to 7 scale, and the changes from
23 baseline to endpoint are shown here. Again, a similar impres-
24 sion of efficacy was obtained. That is, both fluoxetine and
25 imipramine are superior to placebo and comparable to each
other.

1 Individual study analysis shows that in three of
2 eight studies, 1, 2 and 7, fluoxetine is shown to be superior
3 to placebo, and in three others, 3, 5 and 6, this difference
4 is of borderline significance. Pooled analysis, excluding
5 investigator 2, showed a significant superiority of fluoxetine
6 over placebo, with a probability of alpha error of less than
7 0.001.

8 The reasons for discontinuation from the three-celled
9 study are shown here. This pool excludes investigator 2. In
10 the fluoxetine group, 98 patients, representing over 50 per-
11 cent of those enrolled, completed the study. Roughly equal
12 percentages of the fluoxetine-treated group dropped out for
13 adverse events or lack of efficacy, in the fluoxetine group.

14 The dropouts because of lack of efficacy were sig-
15 nificantly lower with fluoxetine than placebo, another indica-
16 tion that fluoxetine is an effective antidepressant.

17 In summary, out of eight studies, the following indi-
18 cated statistically significant or nearly significant differ-
19 ences between fluoxetine and placebo, all in favor of fluoxe-
20 tine. In the Hamilton depression total, two studies were
21 significant. For the CGI severity of depression, three
22 studies were significant, and two studies were nearly signi-
23 ficant, and for the CGI global improvement, three studies were
24 significant and three studies were nearly significant.

25 Thus, for each indicant presented today, at least

1 two individual studies in the pool of five three-celled
2 studies demonstrated superiority of fluoxetine over placebo.

3 It is important to note three points about the data
4 which I have presented today. First, only three efficacy
5 indicants, the total Hamilton depression score, clinical glo-
6 bal impression of severity, and clinical global impression of
7 improvement, were presented today.

8 In the study, 13 different indicants, some clinical,
9 some patient, were assessed. Statistical tests of the indi-
10 cants not shown today yields results similar to those which
11 were presented. Second, as noted previously, only evaluable
12 patients were presented today.

13 If all patients, regardless of evaluability, were
14 analyzed, the same efficacy conclusions would be reached.
15 And, third, the statistical assessment presented is based on
16 endpoint analysis. An endpoint is defined as the last patient
17 visit. Thus, patients who dropped out early are included in
18 the analysis, provided they meet the previously mentioned
19 evaluability criteria.

20 A statistical evaluation of only those patients who
21 completed the full six weeks of treatment yields results
22 which were at least as favorable as the endpoint analysis.

23 This then leads us to conclude that fluoxetine is an
24 effective antidepressant which is significantly better than
25 placebo in the treatment of major depressive disorder.

1 Thank you.

2 DR. DETRE: Thank you. Could I just ask one ques-
3 tion, if I may? The statement was made that only patients with
4 unipolar depression were selected for these trials. How were
5 the bipolar ones excluded?

6 DR. ZERBE: The --

7 DR. DETRE: I wanted to know, by what criteria was
8 it determined that patients are unipolar?

9 DR. ZERBE: The diagnosis of unipolar depression was
10 based primarily on the clinical history of the patient, not
11 having previously demonstrated evidence of bipolar illness.

12 DR. DETRE: Thank you very much.

13 DR. LEBER: Can I ask you another question? This is
14 an example of the double-entry ledgers that we run into -- how
15 many studies are you reporting on? Eight, two, or three?

16 DR. ZERBE: We're reporting on eight studies.

17 DR. LEBER: How do you describe them as eight studies?
18 I thought the six three-way pool was one study. You can't
19 have it both ways.

20 My point is very clear. If you want to rely on the
21 pooling, then it's a pooled study, and I believe that your pro-
22 tocol called for a multicenter trial. If you want to say that
23 you have six independent studies, then you don't have quite
24 the overwhelming majority of studies all going the same way.
25 You basically are trying to take advantage of presenting the

1 data in more than one way, and doubly counting studies. For
2 example, you then go back and do a pooling of eight studies,
3 to do a comparative overall analysis.

4 And one of the things I find most distressing, for a
5 disinterested and dispassionate assessment of data, is the
6 throwing together and the obfuscation of what is, in fact, the
7 data bases we're looking at? Anybody who chooses, after the
8 fact, can look through yesterday's headlines and prove that,
9 in fact, nothing happened that did, and everything happened
10 that didn't.

11 And I think that our major problem right now is
12 trying to look at the evidence, and frankly, I think we did
13 spell it out. And I think that your presentation -- my con-
14 cern is that I don't even know what the company's stand is.
15 Did you plan this as a six-way study, an eight-way study, or
16 were they planned as individual studies?

17 DR. ZERBE: It was by an identical protocol, with
18 the intention of pooling the data, and I think the only
19 reason -- I'm sorry if we misled you, to suggest that we
20 were double-counting them, I think we just tried to demon-
21 strate different approaches to looking at it. I don't think
22 the bottom line --

23 DR. LEBER: In this case, the bottom line doesn't
24 change, you're absolutely right. But the point that I'm trying
25 to make is that it is very hard, looking at a mass of data, to

1 know what anyone is talking about.

2 One of the critical things for us to do, if we're
3 going to discuss anything, is for us to have a common data set.
4 And my experience over the years has been that the times when
5 we get into disputes that are meaningless is when everyone is
6 talking about a different group of studies, and I think it may
7 be important.

8 You may want to use numbers, for various reasons, to
9 describe individual studies, but I think that if there is a
10 point of contention right now between the agency and your-
11 selves about the nature of the data base, we ought to set it
12 on the table.

13 I am impressed that you have, at the most, three
14 placebo-controlled studies -- one that was conducted at six
15 different sites, and two independent placebo-controlled
16 studies, and they should be counted as they are.

17 To redisplay them in different ways, I think, makes
18 it difficult to follow the argument. I didn't say you couldn't
19 do it. I just think, for purposes of the discussion in a com-
20 mon meeting, it's difficult.

21 DR. ZERBE: I don't think there's any disagreement
22 with our approach. It's just an alternative way of looking at
23 it, not trying to change any of the conclusions based on that.

24 DR. THOMPSON: Dr. Joe Wernicke joined Lilly about a
25 year ago, and has been the clinical scientist primarily

1 responsible for our studies with fluoxetine since that time.
2 He will discuss the safety profile, and although most of his
3 presentation will be restricted to the data in the NDA, on a
4 little over 1,400 patients, several of the key slides, address-
5 ing serious side effects, such as death and suicide attempts
6 and overdoses, will be in fact up to date, including data on
7 more than 3,100 patients given fluoxetine worldwide.

8 DR. LEBER: Again, the same caveat applies. The
9 staff has not looked at this data. This data will be looked
10 at in its submission that will eventually be made. But once
11 again, you must look at this -- and I feel like a judge telling
12 the jury a set of instructions -- as recognizing that this is
13 the firm's only interpretation of the data. Only they have
14 looked at it; we haven't.

15 DR. WERNICKE: I would like to review the side effect
16 and safety profile of fluoxetine briefly, and what I would
17 like to cover are the adverse events we have seen in the NDA,
18 and in individual groups, and also talk a little bit about
19 some other safety issues that pertain to fluoxetine.

20 And as Dr. Thompson has said, some of these will be
21 more comprehensive than the NDA, and I will point that out at
22 the time.

23 This slide shows the adverse event profile that Dr.
24 Kapit already showed. At the bottom are the percentage of
25 patients who reported that adverse event at any time during

1 treatment, without any preconceived notion or idea as to caus-
2 ality. The open box reports the percentage of patients who
3 reported that adverse event, and the closed box, the percentage
4 of patients who discontinued treatment at the time that adverse
5 event was reported.

6 The most frequently reported are nausea, nervousness,
7 headache, insomnia, anxiety, and so forth.

8 Now, let me talk specifically about the placebo-
9 controlled studies, about which -- from which you have already
10 seen the efficacy data. Here are the reports on discontinua-
11 tions because of adverse events for fluoxetine and placebo.
12 In order, they are nausea, dry mouth, headache, nervousness,
13 insomnia, and so forth. The stars indicate significant dif-
14 ference against placebo. The only significant difference is
15 of course with fluoxetine, with discontinuation. There are a
16 few that are significantly different.

17 The patients who reported any adverse event during
18 the trials are 79 percent with fluoxetine treatment, and 60
19 percent with placebo treatment. Discontinuations because of
20 adverse events were 16 percent with fluoxetine and 3 percent
21 with placebo.

22 This slide only shows the most frequently reported,
23 so here I would like to show others where there were signifi-
24 cant differences between fluoxetine and placebo treatment.

25 To put this into a more clinical perspective, on this

1 slide, I would like to show the fluoxetine versus pooled com-
2 parator adverse event reports, and these include imipramine,
3 amitryptilene, and doxipen, from those studies.

4 We see again that nausea is the most frequently
5 reported with fluoxetine; dry mouth, nervousness, drowsiness,
6 headache, and so forth. The ones that are significantly dif-
7 ferent are nausea, nervousness, anxiety, and insomnia, and
8 Dr. Kapit already alluded to those being perhaps related to
9 fluoxetine.

10 They are tricyclic antidepressants, as we know from
11 the literature, and clinical experience, are associated with
12 anticholinergic adverse events -- dry mouth, drowsiness, dizzi-
13 ness, constipation, and vision disturbance.

14 Discontinuations were fairly infrequent with fluoxe-
15 tine, insomnia being the only one in this group that was
16 reported, that was associated with significantly more discon-
17 tinuations, as opposed to dry mouth and some of the anti-
18 cholinergic effects being related to the tricyclics.

19 Other adverse events which were significantly more
20 frequently reported, and led to discontinuation, are listed in
21 this slide.

22 Rash, as Dr. Kapit has already told you, is probably
23 our most significant adverse event in terms of potential
24 seriousness. It occurs in about 3 percent of patients treated
25 with fluoxetine. Two-thirds of those continue treatment

1 without difficulty, although 1 percent discontinue. The fre-
2 quency of rash was the same as with imipramine in the con-
3 trolled study. The rash description varies quite a bit. Most
4 of them are mild. However, we have, out of 3,000 patients,
5 approximately, treated with fluoxetine, five have had a rash
6 that has been associated with, occasionally, hives.

7 Some of these rashes may require treatment. How-
8 ever, all patients recover; and that is from our entire data
9 base.

10 These are the deaths that have occurred during all
11 fluoxetine clinical trials, which included about 3,000
12 patients, U.S. and Europe, and about 1,000 comparator placebo-
13 treated patients.

14 Cardiac deaths -- there have been five. One of them
15 was on doxipen. The others were primarily myocardial infarc-
16 tions. We don't believe that any of these are related to
17 treatment with fluoxetine.

18 There have been a number of suicides, eight in all --
19 four by hanging. One of these was in a placebo-treated
20 patient. There have been a number of other suicides. Two
21 of them were during the placebo period.

22 There is only one potential fluoxetine involvement in
23 any of these, and this is in a 38-year-old male who took an
24 overdose of clomazine, amitryptilene, and pentazocine. Fluoxe-
25 tine is with a question mark, because it is not clear that

1 that patient took any fluoxetine. The bottle of pills was
2 found, and the count wasn't clear, but certainly he did not
3 take a lot. That patient was found dead. Notice that these
4 are all males, a group that is at high risk for suicide.

5 Other deaths were a lung carcinoma and an infection.

6 I would like to go back to the suicides, and point
7 out, again, that nobody apparently -- we feel certain, I'm
8 sure, that nobody has killed themselves with fluoxetine, and in
9 that regard, I would like to talk about some of the overdoses.

10 These are four that were reported in the NDA. Since
11 then, we have had a number of others. In the NDA, we have one
12 patient that took about a gram of fluoxetine, had some mild
13 transient EKG changes. Since that time, we have had one
14 patient that took, apparently, 3,000 milligrams of fluoxetine,
15 had some transient EKG changes and two very brief seizures,
16 but recovered uneventfully. All other patients recovered, also.

17 In that regard, I would like to go to the effects on
18 the cardiac conduction. This is the heart rate as determined
19 by the EKG's on double-blind studies.

20 As we expected from the literature, imipramine and
21 amitriptylene were associated with a significant increase in
22 the heart rate, whereas fluoxetine was associated with a smaller
23 but statistically insignificant decrease in the heart rate.

24 The QRS complex, again from the ECG data of all the
25 double-blind studies, is shown here. Imipramine and

1 amitryptilene are associated with the prolongation of the QRS
2 complex, and fluoxetine really has no effect. We feel that
3 that is the basis for its probable relative safety in over-
4 dose, and why the people that took the overdoses fared so well.

5 Laboratory studies were done during the treatment
6 with fluoxetine, and we detected no trend toward abnormal.
7 The percentage of patients with abnormalities was similar to
8 the control groups, and the laboratories' abnormalities that
9 were detected were not related to clinically significant ob-
10 servations.

11 This slide shows the total number of patients and
12 the duration, as in the NDA. At that time, there were 74 that
13 had been treated for over a year. The number over a year now
14 is 179, with two patients having been treated for more than
15 five years.

16 In summary, then, I would like to say that we feel
17 quite comfortable in saying that the side-effect profile of
18 fluoxetine is well-tolerated. The most commonly observed
19 effects are nausea and insomnia and nervousness. Rash with
20 occasional arthralgia or hives is seen infrequently.

21 Fluoxetine is relatively safe in overdose, with mini-
22 mal effects on cardiac function, and fluoxetine is safe in
23 long-term use.

24 That is the end of my presentation. I do have a
25 slide here to address the question of insomnia.

1 DR. LEBER: May I ask you a couple of questions?

2 DR. WERNICKE: Certainly.

3 DR. LEBER: The second most common thing we saw in
4 the data base, especially now that you've told me you success-
5 fully excluded all the unipolars, was psychosis. It happened
6 in about, originally, 9 out of about 1,100 patients, or 10.
7 Now it's up to 14, I understand? You didn't mention that.
8 What do you think the psychosis is due to?

9 DR. WERNICKE: Well, as Dr. Kapit already alluded to,
10 we feel that some of them may have been precipitated by treat-
11 ment. Some may be unrecognized bipolar illness. It's not
12 always clear.

13 There have been a number of patients that have
14 reported that, but their frequency doesn't seem to be any
15 higher than in comparators.

16 DR. LEBER: How does your incidence compare to that
17 of the comparative drugs, that you have discussed everything
18 else in comparison to?

19 DR. WERNICKE: Well, that's a little bit difficult to
20 say. In the bipolar study --

21 DR. LEBER: No, no. Let's talk about the entire data
22 base.

23 DR. WERNICKE: Okay.

24 DR. LEBER: That's what you have been talking about
25 up to now, and I'm sort of curious, since it was the second

1 most common serious events, and I want to go through the three
2 serious, life-threatening events, because you didn't mention
3 them.

4 Oh, you did mention the rash, which could have -- if
5 it were Stevens-Johnsons, or exfoliated, it would be very bad.
6 Psychosis; and the last, of course, is leukopenia, that may
7 border on agranulocytosis.

8 DR. WERNICKE: Right. Psychosis, we didn't -- I
9 don't remember any cases that we observed with comparator drugs.
10 Now, some of these episodes occurred late -- very late in
11 treatment, and there were much fewer patients in prolonged
12 treatment with the comparators. I have the breakdown of the --

13 DR. LEBER: If that's the case, that means the esti-
14 mated risk of 1 percent is a massive underestimation of risk.
15 You're telling -- and again, the timing of these cases becomes
16 critical, because if this is something that occurs late, and
17 the number of patients at risk late is much smaller, the actual
18 case exposure estimate goes way up.

19 Now, we might be talking about a significant concern
20 in the use of the drug. So, I mean, this is why we have to
21 talk seriously about safety in the safety update. It is not
22 something we can completely establish.

23 But one of the conclusions I was concerned about is
24 the one that you say, safe in long-term use. That is diffi-
25 cult to say, because of the size of the data base, but we are

1 willing to say that that's the case.

2
3 another serious thing Dr. Kapit found that you didn't talk
4 about, probably because of numbers. It was leukopenia. Three
5 cases were leukopenic. One apparently -- and I don't know
6 what happened to that individual, probably reached levels that
7 were -- was it agranulocytotic? Mainly -- so this is one of
8 the things that we worry about. Not that it doesn't occur
9 with other psychotropic drugs, but we just were interested in
10 what was the follow-up.

11 DR. WERNICKE: Well, we have looked at all the
12 patients with white count below 6,000, and there was no person
13 that had a count below 1,000, total, at any time. We had some
14 follow-up information on those patients.

15 DR. LEBER: What happened to the patients that had,
16 say, neutrophil counts between, say, 2,000 and --

17 DR. WERNICKE: Most of them continued to go up.
18 Where we had follow-up, they continued to -- they went up
19 again.

20 DR. LEBER: Again, this is the kind of thing we'll
21 look at in the safety update.

22 DR. WERNICKE: Right.

23 DR. LEBER: I just wanted it clear that we are not
24 reaching any final conclusions about this kind of a thing.
25 This is an interim assessment, awaiting a safety update and the

1 meeting of the minds.

2 DR. WERNICKE: Right, and we didn't exclude psychosis and leukopenia, because we wanted not to talk about it --

4 DR. LEBER: No, no.

5 DR. WERNICKE: We only had a little bit of time in
6 here, and --

7 DR. LEBER: I understand.

8 DR. WERNICKE: -- and we wanted to give an overview
9 of what went on.

10 DR. LEBER: Right. Are there any other questions?

11 Yes, sir?

12 DR. PRESKORN: I have one question. With the long
13 half-life of this compound, how long does the rash last?

14 DR. WERNICKE: Well, that's an interesting question,
15 because a lot of people weren't left to their own devices. The
16 ones that weren't treated, it tends to last a few days to a
17 week, maybe two weeks at the most. A lot of them, the severest
18 ones, were treated with denephril or sometimes steroids.
19 It varies, everything from gone the next day to, two weeks
20 later it was still subsiding.

21 It was -- it's very difficult to get a firm grasp on
22 that. The description varies. So basically, I would say a
23 day to two weeks is the best guess we can make.

24 DR. LEBER: I have another question. What was the
25 timing of the deaths? At the time we got the NDA, I think we

1 had one death, or was it two? None? It might have been a case
2 in -- what I'm interested in finding out, of course, again, is
3 that there is a possibility that this is a time-adjusted thing.

4 Did the deaths occur late? And, if so, that again
5 would be an issue that we ought to discuss, because, again,
6 the issue of the accumulation of a long-acting metabolite,
7 whether or not it has linear pharmacokinetics, and then, of
8 course, the issue of non-linearity of the parent drug, both of
9 which may accumulate.

10 And that is something that we need to be concerned
11 about, and we have unresolved. What is the distribution of
12 deaths and time of treatment on fluoxetine?

13 DR. WERNICKE: Well, the one patient had the MIF
14 after three years of treatment. One was, I believe, after
15 about six weeks. One lady had an MI, and died, actually, two
16 months after the drug was stopped.

17 So, again, it is hard to make any firm conclusions
18 about it, but it seems to be pretty scattered. The same is
19 true for the suicides. Some were early, some were late -- no
20 pattern that we could discern.

21 DR. DETRE: Is there any information on the effects
22 of abrupt withdrawal?

23 DR. WERNICKE: Well, not systematically. We haven't
24 followed patients. However, we do have a lot of investigators
25 that have a patient population that they have followed, that

1 are truly private patients, and we have not had any reports of
2 any problems in that regard. Remember, the drug does have a
3 long half-life, so people essentially withdraw themselves from
4 its use.

5 DR. LEBER: Do you have any blood level data on the
6 patients who overdosed, because one of the problems in overdose
7 estimation and safe passage is whether they really got the
8 drug on board after they overdosed, or whether it all came out
9 in the EW.

10 DR. WERNICKE: Right. That patient that took, appa-
11 rently, 3,000 milligrams, their blood level data, the peak was
12 about nine hours after the dose, and was about 1,700 nanograms
13 per ml, which was a -- normal being about 300 to 400, so that
14 is the only level we have. And, unfortunately, that wasn't
15 the one with the highest dose, but at least we have that, and
16 that is also when those two brief convulsions occurred. But
17 that patient had had a history of seizures, I know, and then
18 she recovered uneventfully.

19 DR. LEBER: There was, I know, a question about
20 pseudo-seizures versus true seizures. Has any new seizure
21 information come later in the data?

22 DR. WERNICKE: We haven't had anything else -- well,
23 actually, that is not quite true. Dr. Chouinard has done a
24 lot of EEG's on his patients, which he routinely does, and I
25 if you would like, I could ask Dr. Chouinard to discuss those.

1 That is not part of our submitted data package.

2 DR. LEBER: Activity on an EEG that would be differ-
3 ent from the ordinary seizures. I was really asking about
4 something like a tonic-clonic seizure, or something that was
5 recognizable as an absent state, or --

6 DR. WERNICKE: That one patient with the three-gram
7 overdose had two definite generalized seizures. Now, there was
8 one normal volunteer that may have had a brief seizure on
9 awakening, and after, I believe, one dose of fluoxetine, this
10 sort of awakening seizure.

11 That's very ill-defined, but that could be another
12 one. There was one patient that fit the description, and the
13 investigator's impression was probably pseudo-seizure. One
14 patient may have had a transient ischemic attack, perhaps a
15 focal seizure -- we can't be sure. She hasn't had any more, to
16 our knowledge.

17 DR. LEBER: Any delirium?

18 DR. WERNICKE: Well --

19 DR. LEBER: Or are your psychoses deliria?

20 DR. WERNICKE: Well, some of them probably were.

21 There was one mention of hallucinations, not delirium as such.

22 I would say no, not that we have been able to detect.

23 DR. DETRE: Dr. Steinbach, do you have a question?

24 DR. STEINBACH: I have a question, can my patient

25 drink while taking this medication?

1 DR. WERNICKE: Dr. Lemberger?

2 DR. LEMBERGER: We have done an interaction study,
3 where we gave the subjects, in the paradigm that I discussed
4 before, either 40 milligrams of fluoxetine or 60 milligrams of
5 fluoxetine, as a single dose a week before they received alco-
6 hol. Blood levels of alcohol were measured both by a standard
7 breathalyzer test, as well as by headspace analysis. So we
8 measured it by both methods.

9 Then they received fluoxetine, and then the alcohol
10 again, three hours after, and then they received eight days of
11 fluoxetine, then another dose of alcohol, which was designed
12 to give threshold levels that was comparable to drinking four
13 shots. It gave a level of close to 60 milligrams percent over
14 the time period.

15 And we did psychomotor performance studies through-
16 out each of these things. There was no difference between the
17 blood levels of alcohol whether given alone, or with the flu-
18 oxetine, either single doses or multiple doses, and again,
19 there was no effect of fluoxetine on the psychomotor impair-
20 ment that alcohol itself produces. It wasn't any exaggera-
21 tion, so -- and this included stability of stance, tracking
22 behavior, and some other things.

23 DR. THOMPSON: Those data on alcohol were confirmed
24 by another study done in Germany, and have not been submitted
25 in detail to the agency, but compared fluoxetine with

1 merprodilene (ph.). Merprodilene clearly impaired psychomotor
2 performance, both alone and in combination with alcohol, in
3 contrast to fluoxetine.

4 You asked two questions that I think Dr. Wernicke
5 can address for you. One was the time course for insomnia,
6 and we have data on the frequency with which that was reported
7 by the patient, visit by visit. Want to show that one?

8 DR. WERNICKE: There was one other question, if we
9 may, for a moment. Yes?

10 DR. THOMPSON: The -- we have data on that as well.

11 DR. DETRE: Dr. Chien, you had a question?

12 DR. CHIEN: No.

13 DR. DETRE: Okay, fine.

14 DR. ZERBE: On this slide, we have the reporting of
15 insomnia over time. The red bars are up to a year of treat-
16 ment, these are weeks down here, and this is just percent
17 reporting, and it appears that some patients who have insomnia
18 continue to report it. It just doesn't -- it seems to be
19 fairly steady, about 9 percent.

20 The blue bars indicate first reports of insomnia, and
21 I included that because it is difficult to tell from this,
22 from just all reports, whether the same people have it, whether
23 new people get it, and if you look at the first report, it
24 looks like, if people have it in the beginning, those are the
25 ones that are likely to continue to have it.

1 It doesn't seem to be something that pops up later,
2 and that is true for all the adverse events with the -- all
3 that we have looked at.

4 DR. DETRE: Dr. Chien and Dr. Preskorn still have
5 questions.

6 DR. CHIEN: Among those who overdose with fluoxetine,
7 or even become psychotic, do they show side effects, such as
8 nervousness, insomnia, nausea, more than anybody who did not
9 overdose, in side effects?

10 DR. ZERBE: Not nausea. Several of the -- one of the
11 patients had spontaneous emesis. There was one patient that --
12 well, one who didn't really take an overdose, was mistakenly
13 given double their dose, and became manic during that episode.

14 DR. CHIEN: The ones with the high overdoses, that
15 wasn't really reported as part of their picture. That's not --

16 DR. ZERBE: It appears that, at very high doses,
17 that doesn't become that prominent. However, we do have that
18 one case where that recently occurred.

19 In terms of nausea, that was reported by one other
20 patient, I believe, and then the one patient had spontaneous
21 emesis. Some of them have reported no ill effects at all.

22 DR. PRESKORN: Was there a clustering of side
23 effects, so that they weren't random, but rather were triad, or
24 some sort of a cluster of side effects that patients were
25 likely to get, is question number one, and question number two.

1 was there a difference between groups in terms of the use of
2 the sedative-hypnotic that was improved by the three different
3 conditions?

4 DR. ZERBE: Well, sedative-hypnotics, I'll get to in
5 a second. The clustering -- some people report more adverse
6 events, and often, anxiety and nervousness were reported
7 together. The reason they're listed separately is because of
8 the way the data was collected.

9 Often, what investigators put as a symptom was ner-
10 vousness, and then as a cause, was anxiety, so those tended
11 to cluster somewhat, but not always. Some people just reported
12 some, and some, others.

13 We looked for clustering like that, and it was just
14 so diffuse, you could say, well, maybe there was an associa-
15 tion, but then there were a lot of exceptions to that. So I
16 would say probably no, but nothing certain that we could iden-
17 tify.

18 Now, in regard to the question on the use of the
19 sedative drugs, what we did, in the three-celled study that
20 you've heard about the efficacy on, the imipramine, fluoxetine
21 and placebo study, we divided patients by educated, retarded,
22 neither, or both, and looked at all patients, and looked at
23 the use of benzodiazepine.

24 And as one might expect, patients that were educated
25 tended to use more benzodiazepine than patients that were

1 retarded, who used less.

2 However, there was no difference, depending on what
3 drug they took, whether they took fluoxetine, imipramine, or
4 placebo. Therefore, although fluoxetine appears to be associa-
5 ted with some anxiety and insomnia, it certainly, in this
6 study, doesn't appear to be associated with any more sedative
7 use.

8 DR. PRESKORN: That is a single percentage of patients
9 who have taken at least one dose of benzodiazepine. What
10 happens if you look at that in terms of continuous dosing,
11 total dose, cumulative dose? That might display something
12 quite different.

13 DR. ZERBE: That, we haven't done. That's a more
14 refined --

15 DR. PRESKORN: Also, that is a very small sample.

16 DR. ZERBE: Yes.

17 DR. PRESKORN: If you would tell us the retarded
18 patients, that's interesting, because that's the group, if
19 anything, who wouldn't need it.

20 The retarded group, I find a little interesting.
21 Both active drugs show an increase. Both imipramine and flu-
22 oxetine may be excitatory, in that sense, in that group, but
23 who knows?

24 DR. ZERBE: The N is so small.

25 DR. PRESKORN: Placebo has the least -- oh, I'm sorry.

1 Other way around.

2 DR. ZERBE: Fluoxetine has the least.

3 DR. LEBER: Good observation -- wrong data.

4 DR. PRESKORN: Thank you.

5 DR. ZERBE: But I don't think we can -- you know, the
6 numbers are so small, I don't know that we can say much about
7 that. We tried to answer that question post-hoc as best as we
8 could from the data, but that was not designed to be part of
9 the study. This was just use of benzodiazepine, if the inves-
10 tigator thought it was necessary.

11 Yes, sir?

12 DR. STANLEY: Is the side effect profile different
13 for the patients requiring the benzodiazepines, say, in the
14 fluoxetine-treated group? In other words, those requiring
15 benzodiazepine -- do they show more of the anxiety and the ner-
16 vousness, as it would seem, compared to those on fluoxetine who
17 did not require benzodiazepine?

18 DR. ZERBE: We haven't looked at it in quite that
19 way, but this would imply that they probably wouldn't, because
20 the use wasn't related to what drug they were getting.

21 DR. THOMPSON: Remember that the number of patients
22 using benzodiazepines is right at 10 percent of the total
23 population. Those groups have 270-some-odd patients in them.
24 It's a very small number.

25 DR. STANLEY: I just wanted to get a sense of, you

1 know, what percentage of this group that is being treated, had
2 those signs of activation, or the nervousness or --

3 DR. ZERBE: Yes, that's another good way to look at
4 it. We tried to look at the data in as many different ways as
5 we could, in the time, but I think that is a valid way to look
6 at it, too.

7 DR. LEE: But didn't you also use chloral hydrate?
8 I mean, weren't there other sedatives given besides benzo-
9 diazepam?

10 DR. ZERBE: Benzodiazepam and chloral hydrate, but
11 there was so little chloral hydrate, the N's are even smaller.
12 I do have that data on inacetate. We can look at that, but --

13 DR. LEE: Could I ask you a question?

14 DR. ZERBE: Yes.

15 DR. LEE: In reviewing the studies, I noticed that the
16 dosage range, the maintenance dosage range, was very narrow.
17 It was usually 60 to 80 milligrams, and mostly 80 milligrams.
18 I wondered how you determined your dosage range.

19 I was very concerned about it when I heard you say
20 just now that one person got -- was given double the dosage
21 and became psychotic.

22 DR. ZERBE: Well --

23 DR. LEE: I'm wondering what sort of information you
24 have on people above 80 milligrams.

25 DR. ZERBE: Well, let me ask Dr. Chouinard to address

1 that point, because he has -- that was his patient, and he has
2 more experience with higher doses.

3 DR. CHOUINARD: I am just writing up the report for
4 publication. This is a patient that I have no previous his-
5 tory of, bipolar, 1 or 2, became manic after the dose was by
6 accident increased. It was a double dose. He was supposed to
7 get 70 milligram, and got 140, and he became manic.

8 The drug was discontinued. He was given clonazepam,
9 or clonidine, and within two days, the mania disappeared. It
10 was not other psychotic than mania -- there was no halluci-
11 nation or delusions, and the mania disappeared two days after
12 we discontinued the drug.

13 And the patient became depressed again without the
14 drug. We reinstated the treatment, and the patient had his
15 depression relieved at a much lower dose.

16 So, just to comment on this specific issue of this
17 patient that has been mentioned.

18 DR. HAYES: At what interval had the patient
19 received the 140-milligram dose?

20 DR. CHOUINARD: Two weeks.

21 DR. THOMPSON: To sum up these data, we have asked
22 Dr. Chouinard, whom you all know from his work in Montreal, to
23 summarize his own work with fluoxetine. He also has been a
24 consultant to Lilly, and therefore has reviewed all of our data
25 on this drug.

1 DR. CHOUINARD: I thought the best way to present my
 2 experience, clinical experience, both as an investigator and
 3 a, shall we say, clinical psychopharmacologist prescribing the
 4 drug on a humanitarian basis, obviously, I thought the best
 5 way to proceed was to present our study, that we did, and the
 6 data are in the NDA, so it doesn't go into conflict with the
 7 present purpose of this meeting.

8 However, obviously, the N's are small, and it was
 9 designed initially to stand by itself. Having had, in pre-
 10 vious studies using this sample type, enough power to detect
 11 differences between the two treatments, however, the power was
 12 not calculated in this particular study, because the statis-
 13 tical analysis was different than the one we used in our prior
 14 study.

15 So, in fact, I will comment about my own clinical
 16 experience in the presentation of the data. This study is a
 17 double-blind clinical trial, which has a sequential entry,
 18 and the patients are thus included as they become available,
 19 and it's a parallel study.

20 In fact, it has the same design as the previously
 21 presented data, with one single-blind washout placebo in the
 22 treatment phase, given under a double-blind condition.

23 It was a flexible-dose regimen, comparing amitryp-
 24 tilene and fluoxetine. In fact, here, the total number of
 25 patients included was 51. In fact, the sample size represents

1 a kind of normal distribution between having 20 to 30 percent
2 of patients having not been treated previously for depression,
3 and the rest of the patients had had some prior treatment.

4 Also, I forgot to mention that we had a stratifi-
5 cation in the study. There was a stratification for sex,
6 meaning that randomization took into account the sex distri-
7 bution per treatment.

8 In fact, this is the dose that were given to our
9 patients. Most patients went up to the 80 milligram per day
10 dose. This is on fluoxetine treatment.

11 Now, on amitryptilene treatment, in fact, the maxi-
12 mum dose was given to very few patients, most patients receiv-
13 ing between 100 and 150 milligrams per day amitryptilene, and
14 the other group, 150 to 200 milligrams per day. The N was 24.

15 In fact, here, one of the most important data is
16 efficacy data from a single study. Less important is to look
17 at the percentage of patients who completed the study. In
18 fluoxetine, this percentage is higher than in amitryptilene,
19 although not significantly so.

20 And, in fact, there were two patients who did not
21 complete fluoxetine treatment. One patient, in fact, took only
22 a single dose of the drug, and he felt anxious, restless, and
23 in fact it was maybe more his fear to participate in the clini-
24 cal trial; and there was also a patient who had the suicidal
25 attempt on the eve of final evaluation, and this patient was

1 presented previously by Dr. Wernicke. He took 200 milligrams
2 of fluoxetine, plus a bottle of rum.

3 In the amitryptilene group, in fact, the adverse
4 experience is higher, and I think of interest is to know what
5 led to discontinuation of treatment in this clinical trial.
6 In fact, in fluoxetine, I already said that this patient took
7 one dose and felt this way, and decided not to continue the
8 clinical trial.

9 On amitryptilene, we encounter four patients who
10 were terminated because of adverse experience, and these
11 patients are -- were discontinued because of the well-known
12 side effects associated with amitryptilene, published in the
13 literature.

14 One patient was because of complications in serious
15 cardiac arrhythmia. One patient was because of a manic epi-
16 sode. In fact, in this study, there were nine patients with
17 bipolar illness, and one of them became manic during the ami-
18 tryptilene treatment.

19 The other patient was discontinued because of epi-
20 leptiform abnormality. We do this to prevent the obvious cl-
21 nical manifestation of seizure, and this patient was so sen-
22 sitive in reacting on the EEG, that we discontinued him, and
23 the other patient, with orthostatic hypotension, was incurri-
24 ng severe dizziness.

25 So, in fact, these are the well-known side effects

1 associated with amitryptilene.

2 Interim efficacy -- I don't want to talk about this,
3 because, as I said, I don't have the beta value here for our
4 study, but we could say that both treatments were efficacious,
5 as far as the evolution over time.

6 Now, in terms of adverse experience, I think I want
7 to describe all of what is reported by the patients, whether it
8 is drug-related or not, and recorded by the physician.

9 In fact, patients on fluoxetine reported more nausea
10 than patients on amitryptilene, and it was the opposite for
11 dizziness. There were more patients on fluoxetine. In fact,
12 in orthostatic hypotension, as we measure it following our
13 procedure, the incidence was higher with amitryptilene.

14 These are obviously only percentages. The number of
15 cases was not presented, for just this -- because they are
16 small numbers, but I just want to give us a profile here.

17 In terms of anticholinergic side effects, we just
18 confirmed what is well known with the drug. A lot of patients
19 have dry mouth, constipation, and vision disturbance, blurred
20 vision, which was less prevalent in fluoxetine. Again, it is a
21 profile of side effects, and doesn't intend to be statistic-
22 ally compared.

23 In terms of adverse CNS experience, anxiety was found
24 to be more prevalent in fluoxetine as opposed to amitryptilene;
25 and as regards tremor, drowsiness, and nervousness in this

1 particular case, here it is psychic anxiety. So it is not
2 necessarily somatic anxiety or nervousness by the patient.

3 Overall, here, I just want to give you, after review-
4 ing the data that Lilly provided to me -- I would say that my
5 conclusions are very similar to what is presented by FDA. I
6 would say, in just a couple more comments, I would say that
7 fluoxetine is an effective antidepressant, although it is not
8 efficacious in all patients, like all drugs available at this
9 time, having used the drug open-labeled in patients on a huma-
10 nitarian basis.

11 The anxiety is relieved with fluoxetine, as shown
12 in double-blind placebo-controlled studies, but most probably
13 it is only the anxiety which is associated originally and
14 caused by the depression. So it relieves when the depression
15 is relieved.

16 In fact, here, the drug was found efficacious in
17 both agitated and retarded patients. However, here, I think,
18 we are still looking for some group of patients responding
19 specifically to an antidepressant, and at this time there is no
20 evidence, for fluoxetine or for any other drug, that they may
21 be better in any type of patients. In fact, most probably
22 here we will have to wait for a kind of biological marker to be
23 able to find subgroups.

24 The drug appears to be efficacious also in elderly
25 patients. Once given daily, it is efficacious, and we followed

1 patients up to three years, and it seems that the maintenance
2 effect, in our own experience, is maintained. And, I think,
3 in the data provided by Lilly.

4 Overall, we could say that this drug has fewer anti-
5 cholinergic side effects, that the effect on cardiac conduc-
6 tion would be minimal. There is less sedation, and psychomotor
7 impairment, as far as I could see in the data.

8 A considerable concern in the tricyclic is the weight
9 gain for female depressed patients. In fact, fluoxetine
10 doesn't seem to have this effect, and the nausea is definitely
11 present, especially at the beginning of treatment, but usually
12 is mild and would respond to a decrease in dose.

13 In fact, our major issue is related to use of anti-
14 depressants, and I think it has been alluded several times,
15 drug-induced psychosis, drug-induced mania.

16 In the published literature, there are two cases of
17 fluoxetine inducing mania. We will be reporting a third one.
18 It is very difficult to know the exact expected incidence with
19 the tricyclic antidepressants. However, some of these drugs
20 are well known to precipitate an induced mania in patients.

21 The other issue that, since one of our patients was
22 also mentioned in regards of overdose — in fact, we had a
23 patient who overdosed on fluoxetine, and the EEG that was done
24 showed an epileptiform abnormality that was not present
25 before, and this was mentioned also by Dr. Wernicke, that an

1 overdose of the drug has a possibility to induce epileptiform
2 changes, and maybe also clinical seizures.

3 Thank you.

4 DR. DETRE: Any questions? Dr. Preskorn?

5 DR. PRESKORN: Any data on tricyclic nonresponders
6 and their response to fluoxetine, either historical data on
7 tricyclic nonresponders or crossovers?

8 DR. CHOUINARD: I think Dr. Wernicke would be better
9 to address this question.

10 DR. WERNICKE: Well, to use the term "data" loosely,
11 I'll tell you what the experience we have is. The reason I
12 don't talk about it -- because it's in open-label, compas-
13 sionate use. We have a number of patients, and what we did is,
14 I went through and at least, in my mind, tried to convince
15 myself that these people had a fairly well-documented history
16 of failure on other antidepressants.

17 I identified 28 such people, and as I remember, I
18 think 13 did better on fluoxetine, three did worse, and another
19 few were equivocal. So most of those patients did do better,
20 but whether you can really call this treatment resistance, I
21 don't want to pass that off as any kind of real data. It's
22 anecdotal at best. That's really all we have on that.

23 DR. LEBER: I guess I made the point when we began,
24 but I'll make it again, that I don't personally -- and I don't
25 know if everyone else shares the concern -- accept an active-

1 controlled trial as evidence of antidepressant efficacy. You
2 could even look at the six-treatment pooling, and 27, and
3 recognize that even after the placebo washout of four to ten
4 days, many patients randomized to treatment, and two placebos
5 continued to improved significance.

6 Therefore, it is conceivable, even in Dr. Chouinard's
7 sample, that the observed improvements had nothing to do with
8 the administered drug. And that is why I find it difficult,
9 in such circumstances, to do much more with that data than
10 look at it as evidence of safe passage -- no catastrophic
11 events that were life-threatening.

12 Whether it speaks to or against the efficacy issue
13 is questionable. One thing, though, I think Dr. Lee wanted to
14 go back to, and that's dosing, isn't it?

15 DR. LEE: Two things. First of all, in my review --
16 and I took this out of the company's sponsor (sic) -- on page
17 11, 111, and 117, 111 shows the outcome of patients who, at
18 the completion of their six-week trial, were continued upon
19 their drug, if they were doing well. You'll see -- that's on
20 page 111. You will see that, out of 309 patients, there are
21 possibly 30 who are moderately depressed in that -- this is
22 when they completed their long-term trial.

23 If you compare that with page 117 these are the
24 people that crossed over, because they weren't doing well, you
25 will find that there were 94 people who were rated as markedly

1 depressed -- no, markedly depressed, and 29 markedly depressed
2 again out of the 323 subjects who crossed over for failure.

3 Now, this was just a final, global evaluation that
4 was made by the psychiatrist, but I think it suggests that it
5 is not clear that it is effective, and -- well, this is a very
6 large group.

7 Okay, my reading of this information, this data, is
8 that if you don't do well on a tricyclic, I can't be sure
9 you're going to do well on fluoxetine either. It seems that
10 if they're resistant, they're resistant.

11 DR. WERNICKE: That may well be. We do have some
12 people that did do better and some that didn't. We also did
13 looked at -- in people that continued both imipramine and flu-
14 oxetine, looked at their relapse rate, and they were the same
15 in both.

16 And if you looked at the efficacy of the people
17 that stayed in treatment, there they seemed to be about the
18 same. But I think the same caveats that Dr. Leber puts on it
19 are true here, too. It's not blinded. It's open-label,
20 mainly safety experience.

21 DR. LEE: But it compares two open-label conditions.

22 DR. WERNICKE: Yes, true.

23 DR. DETRE: Any other questions from members of the
24 committee? Yes?

25 DR. LEE: May I have one more question?

1 DR. DETRE: Yes.

2 DR. LEE: I would like to find out how you arrived
3 at the dosage range, and how many patients got dosages above 80
4 milligrams.

5 DR. THOMPSON: Virtually no patients in the pivotal
6 studies got doses greater than 80 milligrams, because that was
7 the maximum allowable dose.

8 If you would like to see dose-response data, we have,
9 in fact, finished our analysis of a 700-patient trial, compar-
10 ing, in a fixed-dose design, three doses of fluoxetine and
11 placebo. This has not been written up finally, so it has not
12 been submitted to you formally. We have, however, four carou-
13 sels more of slides that we would love to show you and the
14 audience, if you want to get into it.

15 DR. LEBER: Can I make a suggestion? I would prefer
16 that we have a chance to review it, and if it bears on the
17 determination of approval of the drug -- it will, in the sense
18 of labeling, but if it were to be a major issue, I would pre-
19 fer to return to the committee at the time we are prepared to
20 deal with it, if the committee agrees.

21 I mean, unless there is something in it which speaks
22 to a question we should be aware of, even now -- I mean, is
23 there something surprising, a non-linear --

24 DR. THOMPSON: Very likely. In the same kind of
25 design which you have seen, comparing 20, 40 and 60 milligrams

1 with placebo, the efficacy is better at 20 and 40 milligrams
2 than at 60, and there is clear dose-dependency in some of the
3 side effects. The side-effect profile is exactly the same, but
4 if you track the frequency of some of the reported side effects,
5 such as nausea, insomnia, et cetera, it is increased as you go
6 progressively from 20 to 40 to 60, all the efficacy parameters
7 we looked at, and the efficacy is best at 20 and 40 milligrams
8 a quick synopsis.

9 DR. LEBER: Do you have any blood level data for nor-
10 fluoxetine and fluoxetine in those studies?

11 DR. THOMPSON: Not in those studies, but we have
12 data on fluoxetine and norfluoxetine concentrations in 13
13 patients who were treated for periods of 340 to more than 900
14 days, at doses of 40, 60 and 80 milligrams, so that that was a
15 way of looking at the long-term effects.

16 If you would like to see those data, I would be
17 delighted to show them to you. In essence, both the fluoxe-
18 tine and the norfluoxetine plasma concentrations exactly over-
19 lap the concentrations seen after five weeks in other subjects.

20 So there is no evidence that there is a change after
21 long intervals, one to three years, in terms of the plasma
22 concentrations of those two drugs, for those doses.

23 DR. LEBER: Right. That doesn't deal with the issue
24 of nonlinear pharmacokinetics, though.

25 DR. THOMPSON: No.

1 DR. LEBER: It simply deals with not accumulating
2 more for those individuals.

3 DR. THOMPSON: Dr. Leber is exactly correct, and the
4 area under the curve is greater for fluoxetine on the first
5 dose than it is on chronic dosing. However, we do not believe
6 that that will lead to any problems in terms of administra-
7 tion, because the dispersion of plasma levels at any dose is
8 so great that that becomes a relatively small determinant of
9 the plasma concentration of fluoxetine and norfluoxetine.

10 DR. LEBER: Again, one of the things that I'm doing
11 we're sort of arguing with ourselves. I think we came here
12 prepared to say that we think, from what we have seen, that
13 fluoxetine is one effective antidepressant, and two, on the
14 basis of what we have seen, seems reasonably safe. We didn't
15 say we knew everything about it, but what I am trying to bring
16 out is that we have to intensely candle this egg before we
17 reach a final conclusion.

18 One thing is on the record that I would like to
19 clear up, because it was introduced, and I had asked Dr. Karin
20 Kook, who is in the Division of Biopharmaceutics, to be here
21 in case a question arose about the evidence bearing on what we
22 have analyzed so far, on the pharmacokinetic bioavailability
23 and dose proportionality.

24 Is there anything you think we ought to clarify,
25 where there is a disagreement with the agency, Karin? You'll

1 have to come up to the table.

2 DR. KOOK: The one thing that I would like to clarify
3 is that I do not believe that it is a dose-proportional drug.
4 The data that you have presented are based on single-dose
5 results, where your control was dosed simultaneously with the
6 test drug, if you will.

7 Also, what I would like to emphasize is that the
8 half-lives that you presented are, again, based on single-dose
9 data, and from my looking at your results, the half-life does
10 appear to increase with dose, as well as increase by chronic
11 administration. And by chronic administration, I would also
12 like to emphasize that I mean at least 30 days.

13 DR. LEMBERGER: Yes, I agree with you. I did men-
14 tion that the dose proportionality was single-dose. With the
15 half-lives, I mentioned that the mean was two days with single-
16 dose administration, and a mean of four days with chronic
17 administration, although we have looked at dose administration
18 up to 45 days, and clearly the half-life dosage increase.

19 There is nonlinearity of the fluoxetine, but the
20 norfluoxetine metabolite, the metabolite form, is linear. So
21 that is a question that -- and I agree with Dr. Leber, this is
22 something that we'll have to get together with.

23 It is very complex data, in the sense that the agency
24 and Lilly will have to sit down, because, although the fluoxe-
25 tine itself is nonlinear, the metabolite is linear, and --

1 DR. KOOK: Right, but in fact, on multiple-dosing
2 studies, the half-lives appear to be more on the order of six
3 days for the parent drug, and up to as high as 18 days for the
4 metabolite.

5 DR. LEMBERGER: With the data that we have, we find
6 four days, but we can discuss this at some future date.

7 DR. KOOK: Yes, and the other thing, also, then -- in
8 some of the drug studies that you mentioned, studies with
9 elderly renal-impaired patients, et cetera, were also done
10 on a single-dose basis, which it is very difficult to inter-
11 pret such data. It is not representative, really, of the
12 actual dosage situation; and also, you have referred to some
13 multiple-dosing studies as being seven days, which, for a drug
14 with half-lives like this, I think it is not fair to represent
15 those as being multiple-dose studies.

16 DR. LEMBERGER: Well, as I -- normally, when drug
17 interaction studies are done, they're usually done with
18 single-dose studies. We elected a sophisticated study design,
19 which would at least address the issue of buildup of metabo-
20 lites.

21 True, they weren't in steady state, but clearly the
22 study design was much better than what is normally presented
23 in single doses. So I didn't want to give the impression that
24 we didn't do our homework. We clearly did.

25 The other issue we -- I didn't mention, but we have

1 looked at patients who have received the drug for prolonged
2 periods of time, and have taken other drugs concomitantly, and
3 we have seen no indication of drug interaction there.

4 DR. KOOK: Okay. Again, it would be interesting to
5 see long-term data where the patients are compared to their
6 own early-on blood levels, if you have something like that.
7 This group of 13 patients who were treated for at least a year
8 were compared to a different group of patients, so it's diffi-
9 cult to draw very --

10 DR. LEMBERGER: We do have some individuals where we
11 took random blood samples throughout the period, sort of like
12 Dr. Temple's pharmacokinetic screen, and we have done this
13 throughout. We do have that kind of data.

14 DR. KOOK: Thank you.

15 DR. DETRE: Ladies and gentlemen, it is my feeling
16 that, by now, all civilized people have adjourned for lunch,
17 and we should do the same, but let's make it for no more than
18 60 minutes, please.

19 (Whereupon, at 2:55 p.m., the conference was
20 recessed, to reconvene at 1:58 p.m. this same day.)
21
22
23
24
25

AFTERNOON SESSION

1:58 p.m.

1
2
3 DR. DETRE: Let me call the meeting to order again,
4 if I may, and Dr. Thompson asked to make a very brief state-
5 ment, so labeled by him.

6 DR. THOMPSON: Thank you, Dr. Detre. In terms of the
7 discussion that there are clearly more data now available on
8 fluoxetine, than in the NDA at the time of submission, one
9 thing I wanted to make perfectly clear was that all significant
10 adverse events that have occurred worldwide, have in fact been
11 reported promptly to the FDA, through the IND. And, in fact,
12 Lilly's definition of "significant" includes not only the
13 regulatory requirement of tests against hazardous warnings,
14 side effects, and precautions, but in addition we use all of
15 the definitions in the current NDA regulations of "serious,"
16 with one exception.

17 And that is, if an adverse event requires prescrip-
18 tion drug therapy, and that's the only thing that would make
19 that serious, and we don't include that in "significant."

20 So I think that, in fact, there would be no new news
21 in the safety update, in terms of significant adverse events,
22 that we haven't already talked about.

23 DR. DETRE: Thank you very much, Dr. Thompson.

24 DR. LEBER: That needs some clarification, too.

25 DR. DETRE: Dr. Leber?

1 DR. LEBER: That cannot stand hanging there like a
2 slow pitch on a hot summer's evening.

3 (Laughter.)

4 DR. LEBER: Let me point out very clearly that the
5 IND is a document that we look at primarily to evaluate whe-
6 ther or not clinical trials should be conducted. It is not
7 looked at with a -- shall I put it, with the intensity and
8 comprehensiveness that we would look at the NDA, prior to a
9 drug approval.

10 So, technically, you are absolutely correct. The
11 FDA, as an institution, has received, and I take your word for
12 it, all the material necessary, and all the things you know or
13 need to know about this drug.

14 That is not the same as to say that it has moved,
15 in memory, from this particular address called the IND, for
16 those of you who understand computer jargon, to the memory --
17 active memory, where we are going to work on it for the NDA.

18 All I can do is fess up, and say a small, beleaguered
19 staff is doing its best to get this information. We will,
20 and not only the fact that it's here -- it has to be organized,
21 put into tables, and then statistically evaluated with per-
22 tinent questions, and that has yet to be done.

23 And in that ongoing, iterative process, we may learn
24 things that neither of us yet understand or know. And so I am
25 just putting that out as a caveat.

1 In no way, throughout any of this
2 suggest, imply, or lead you to the inference
3 has done anything wrong. I just want it very
4 we have to be very certain. That's my job --
5 turned all stones over to look.

6 So that is my answer to your slow pitch, but I hope
7 you take it in good spirits.

8 DR. DETRE: Well, with those semi-final comments,
9 perhaps we could proceed to the committee's discussion. Every-
10 body, any questions?

11 Dr. Chien?

12 DR. CHIEN: I'd like to bring up two points, just as
13 my comment on the morning's exciting presentation. One, it's
14 about how to analyze the data. We looked at the placebo-
15 controlled studies that you have presented. Six investigators
16 participated in protocol 27. Three of the six did not come
17 up with really consistent, significant superiority of fluoxe-
18 tine over placebo.

19 If those six investigators publish their own papers,
20 the common practice now in psychiatry, who like to review the
21 existing literature, may end up saying that 50 percent of the
22 six studies show almost no difference with placebo.

23 The other two independent comparisons with tricyclic
24 antidepressants -- one out of the two showed no difference at
25 all. So, suppose all eight people publish their papers -- that

1 would end up like a disaster. Four out of the eight papers
2 may not show, really, some striking difference between placebo
3 and fluoxetine.

4 And I learned a good lesson from today's presenta-
5 tion, that we really need a kind of pooled data. And I think
6 that Paul Leber is absolutely correct, that we should not
7 really call them six different studies, but as a one pool of
8 data. And I think this is really a good example to tell us,
9 depending on how you look at the data, that the conclusion
10 might be very misleading.

11 My second comment is about Dr. Chouinard's presenta-
12 tion. In his slide, the so-called clinical profile, I wish I
13 could share his enthusiasm and optimism, in saying that this
14 drug has less side effects, in terms of less sedation, and
15 saying that nausea is existing but mild.

16 I feel a little uneasy about that. I would like to
17 play a little bit devil's advocate. I got the impression that
18 almost saying, when outside is a 100-degree heat wave, we are
19 saying we don't have the snow.

20 What I'm trying to say is, when there is about 25
21 percent of nausea, one out of every four patients was
22 experienced nausea, I don't feel like to see that in the drug
23 company's submission, saying that nausea is present, but mild,
24 and also saying that there is no side effect of sedation, like
25 imipramine, which is correct.

1 On the other hand, we should say, although there is
2 no sedation, but there is about 25 percent of so-called agita-
3 tion or anxiety, which is not a small incidence. So I just
4 want to be careful about how we are going to put that in a drug
5 insert, about these side effects.

6 DR. THOMPSON: Let me try to address each issue. In
7 regard to the number of studies, I agree with you completely,
8 and we agree with Dr. Leber that that study was designed for
9 pooling.

10 The reason that we presented the individual studies
11 is we thought that made fluoxetine look worse, and we wanted to
12 make a conservative presentation. We also, for that reason,
13 excluded that one investigator. So it was not an intent not
14 to pool that study, as originally designed. We submitted to
15 the FDA both pooled data with and without that investigator, so
16 I agree on the number of studies and the way it should be
17 analyzed. We agree completely with Dr. Chi's approach.

18 In regard to the nausea, let me say two things.
19 First of all, the total incidence of 25 percent, for number of
20 events -- in other words, any patient who at any time said
21 that they had nausea got counted in that big lump.

22 Now, we looked at severity in two ways. The first
23 was, we asked the investigators to score each adverse event
24 on a four-point scale, from zero to three. And the average
25 scale for the severity of nausea was one, which was about the

1 same as the score of nausea reported with the other drugs.

2 Now, although nausea was reported more frequently
3 with fluoxetine, another measure of intensity is how many
4 people discontinued the study at the time they had nausea, and
5 that rate was 3 percent with fluoxetine and 4 percent with imi-
6 pramine.

7 So that is one of the reasons that we believe that
8 it's fair to say that nausea was the most commonly-reported
9 adverse event, but in fact it was mild.

10 In addition, as pointed out previously, vomiting was
11 quite unusual. So I agree with you that -- and then the third
12 point you made, which we agree with, is that the safety pro-
13 file is different for this drug than the tricyclics. They
14 tend to be more sedating, and this drug tends to be whatever
15 you want to call it -- more alerting.

16 However, remember, as Dr. Wernicke showed you, that
17 the number of patients who reported any adverse event was sig-
18 nificantly less for this drug than imipramine -- I'm sorry,
19 the three tricyclic comparators, and the number of people that
20 discontinued for adverse events was significantly less for
21 fluoxetine than with the tricyclics.

22 So, although the pattern of adverse events was
23 clearly the same, overall, we believe that the adverse events
24 in general are less severe than for the comparators which we
25 chose to use.

1 Now, Dr. Wernicke can elaborate on that in detail, if
2 you like.

3 DR. LEBER: Can I elaborate on something which I
4 think is even more important? Since all people are not trained
5 to the use of terminology, you have, throughout the body of
6 the clinical data base, different physicians using their own
7 language to describe events, which is subsequently recodified
8 in some central place, and recategorized and re-expressed,
9 perhaps with a glossary that people understand and perhaps not.

10 My own personal belief is that absolute incidences
11 of side effects, as enumerated in the tabulation, is a bit
12 like a phrase I once used to describe them -- the Emperor's
13 Clothes. I'm not too sure what they really represent, or if
14 they're really there.

15 As a matter of fact, it's sort of like coming up
16 with an average size for all fruit. You can get a number, but
17 I don't really think it has very much communicative value, and
18 I suggest that everyone realize that drugs have different
19 risks and different side effects.

20 The issue is how patients behave, and I agree with
21 that. I think for you to say, for example, that a physician
22 rates a patient as having nausea of grade 1, when in fact all
23 the physician can deal with is the report of the nausea, and
24 the patient is experiencing it, is, in itself, a very ques-
25 tionable enterprise.

1 If I were going to rate nausea, I would rely entirely
2 on a patient-rated scale, because I would assume that the
3 patient is experiencing the event, and not the physician. You
4 want to talk about episodes of vomits, volume of vomits, and
5 so on, that's a more objective scale, but it is very hard to
6 talk about the number sensibly.

7 And I suggest we move on to the more important ques-
8 tion, which -- and all of these are labeling issues, and I
9 agree, too, with Dr. Chien, that it could turn, in a way, to
10 advertising, but the real question for the agency, and for the
11 committee, is, having heard what the drug is capable of doing
12 or not doing, and having reached a conclusion, if you do, that
13 it has efficacy as an antidepressant, is there anything in
14 its distributed description of adverse reactions, that would
15 lead you not to want to see this drug used in the treatment of
16 depression, and if so, why?

17 And obviously, no drug is going to be free of risks.
18 And I think the precise definition, in terms of, you know, con-
19 fidence intervals and incidence of risk, is an enterprise that
20 is doomed to failure, because we can probably float them up and
21 down and all over the place.

22 The way I want to phrase the question is, this is an
23 incomplete database. This NDA was submitted when? A couple of
24 you probably remember.

25 DR. TALBOTT: September of '83.

1 DR. LEBER: Two years ago. In the interval between
2 the time of submission, when the books closed on the data
3 officially submitted, and the current time, the company has not
4 stood still. They have continued to conduct clinical trials,
5 they have continued to accumulate information, much the way the
6 drugs once marketed have continued to accumulate information.
7 You have to look at the evidence in your hand today.

8 Now, because we have been concerned about this inter-
9 val of time, under current rewrite policy -- and before re-
10 write regulations, it was policy -- the company has to submit
11 to us a safety update, but we haven't gotten that yet. And
12 it's true, we could have waited another six months to come to
13 the committee, but I felt we were close enough to looking at
14 the preliminary stage of our judgment to get your view, because
15 it's true -- if we decide there is a terrible risk that
16 appears now, I promise we'll come back to the company -- to
17 the company afterwards, and to the committee.

18 But if it is in fact no difference to the safety
19 update, in rate, incidence, in display, in distribution of
20 adverse risks, then I don't see why you couldn't make a judg-
21 ment now on the question, as I've sort of organized it.

22 We're going to miss things. I guarantee, or at least
23 I'm willing to place a bet with anyone, that fluoxetine, if
24 marketed, will have reports of adverse events we have never
25 seen. Some of them may be due to the drug, some of them may not

1 be due to the drug, but they're going to be out there. In
2 fact, there may be something that turns up that's really caused
3 by it, but below the detection power for the size of the data-
4 bases we work with in the NDA's.

5 So, I mean, nobody has an absolute guarantee of
6 safety, but on the basis of what you have seen, is this drug a
7 reasonable antidepressant? And if it isn't, or if you're not
8 sure, what are the questions you want to ask of the company
9 and of us? What do you want to know before approval?

10 DR. DETRE: Dr. Preskorn?

11 DR. PRESKORN: One question. If the data that I
12 didn't have the chance to see are presented, and that is,
13 given its structure and also its side effects, is there any
14 evidence, either in animals or in man, that the drug is self-
15 administered, and how does this drug, in terms of a discrimi-
16 native Q, is it distinguished from amphetamines, methlyphena-
17 date, and other such drugs in animals, from fluoxetine?

18 DR. DETRE: Would somebody from the company like to
19 respond to that question?

20 Would you kindly come to the microphone, please, and
21 state your name?

22 DR. THOMPSON: Dr. David Wong is the discoverer of
23 the drug.

24 DR. WONG: From all the animal behavior studies that
25 we have studied so far, we have not seen a stimulation effect

1 with any resemblance of amphetamine, and also that we have
2 not seen the evidence of dependency in animal behavioral
3 studies.

4 DR. LEBER: What kind of tests have you done -- yeah,
5 I think what we have to do, once again, answering questions
6 with one-liners is difficult.

7 DR. WONG: Yes.

8 DR. LEBER: We need to know the type of testing in
9 humans. Has this drug been used in any stable of amphetamine
10 abusers, for example?

11 DR. WONG: I just addressed the animal studies.

12 DR. LEBER: I know, but I'm trying to give some con-
13 crete meaning to questions that get asked, and I think it's
14 useful to have data to answer them. So it may be that we
15 haven't done it.

16 DR. WONG: In the NDA, there is a study, and it's
17 in animals supposedly to detect sedative activities, and the
18 absence of activity, to produce activity in sacrificial ani-
19 mals, and also we also have study done of locomotor activity
20 again, did not detect any stimulatory effect with fluoxetine
21 up to 40 milligram per kilo, nor an inhibitory effect with
22 fluoxetine at that dose.

23 DR. LEBER: Have there been, for example, in animal
24 studies, any self-administration paradigms done, where animals
25 are first habituated or addicted to a stimulant, and then

1 allowed free substitution for that, or that kind of design?

2 DR. WONG: Yes, the -- precisely design approach of
3 paradigms have not been done, but in self-administration in
4 the presethanol (ph.) intake, that study has been done at
5 Indiana University. Up to seven days' administration did not
6 demonstrate a self-administration with fluoxetine.

7 DR. LEBER: Different question. I think the point
8 is, it's been a partial -- is that a fair statement, that
9 there has not been a systematic assessment in animals at the
10 preclinical level of self-reinforcement or habituation? That
11 is a legitimate request to look at.

12 DR. DETRE: For the record, we know your name, but
13 would you please state your name?

14 DR. LEMBERGER: Lemberger. In normal volunteers,
15 when the drug is administered over periods as long as 45 days,
16 and then individuals are followed through that period, post-
17 drug, first of all, we haven't seen any stimulatory behavior
18 comparable to that which one would see with an amphetamine-
19 like drug, and there is no withdrawal-type symptoms after, nor
20 is there any tolerance that seems to develop.

21 The drug itself, in normal volunteers, has very
22 little pharmacologic activity of a behavioral type.

23 DR. LEBER: Lou, how many patients have actually
24 been followed systematically in withdrawal studies?

25 DR. LEMBERGER: Well, these are not really studies

1 designed for withdrawal, per se, but questionnaires are given
2 throughout the period of drug administration. Then they're
3 followed post-drug, because, as you can imagine, in the kinds
4 of metabolism studies that we do, with this long half-life, we
5 have to follow people for a long period of time after the
6 drug, and we have seen no changes in behavior, no marked
7 changes that --

8 DR. LEBER: The reason that I'm doing this sort of
9 dialogue with you is not that I know that you don't know it,
10 but I think I want to make clear that people who have -- if
11 we had had a concern, if this were benzodiazepine, the chances
12 are that this would have had a fairly elaborate discontinua-
13 tion series of studies done, which people would either have
14 titrated down and off the drug, and there would have been a
15 more formal assessment of dependency and use and so on, and
16 probably -- and it's a consideration for the committee. Is
17 this the kind of information you would want now, or before
18 marketing or after marketing?

19 It's something that they should look at, but it is
20 clear that there has not been a systematic assessment of this
21 aspect of fluoxetine. Is that fair?

22 DR. LEMBERGER: Yes, but basically, in normal volun-
23 teers, the drug is without activity.

24 DR. DETRE: You have said that you follow patients
25 for your metabolic studies after the drug was discontinued.

1 What was the longest period you followed normal volunteers?

2 DR. LEMBERGER: We have admitted patients to a
3 greater than 90-day study, where we administered the drug for
4 30 days, and then followed the disappearance over the follow-
5 ing 60 days. During that period, before discharge, for 60
6 days.

7 DR. DETRE: Thank you.

8 DR. WERNICKE: Could I make a comment about the
9 potential for self-administration?

10 DR. DETRE: Certainly.

11 DR. WERNICKE: We haven't studied it that systematic-
12 ally, like Dr. Lemberger said. However, in the clinical trials,
13 when people have been taken off, we haven't had any requests
14 for reinitiation of the drug, except as judged by the psychia-
15 trist as a recurrence of depression, and that has gone along
16 with Ham.D.

17 I can't think of one single instance where there was
18 any doubt as to why that patient wanted to go back on. That's
19 again, not real data, but that is in fact what happened.

20 DR. PRESKORN: Well, I would have to concur with Dr.
21 Chien in terms of the efficacy, which, you know, is -- depend-
22 ing on the way you cut the study, it may either -- and some
23 studies may not turn out better than placebo, and in most
24 studies, not better than imipramine.

25 In addition, my other question is that these are all

1 acute studies. Do we have any maintenance data? In other
2 words, you have controlled studies that look at relapse rates,
3 because, in use of antidepressants, one not only thinks about
4 acute efficacy, but also in terms of its ability to prevent
5 recurrences of a depressive episode. And how much systematic
6 data do we have on that?

7 DR. LEBER: Probably none. What you have very often
8 is extensions of controlled trials in which patients who have
9 presumably been successful -- their outcome is due to drug, are
10 continued on drug.

11 However, a formal discontinuation design of the type
12 we have talked about in various meetings has not been done, as
13 far as I know, though the company may have done one after sub-
14 mission. But as far as I know, a re-randomization of patients
15 to drug that they're on, and placebo or some controlled con-
16 dition, to see whether or not they suffer a relapse under the
17 two groups, which would be a fair test of this question, has
18 not been done.

19 And it's one that we would be very interested in.
20 But I point out, in fairness, it has not been done for any
21 other antidepressants up to this time that I know of, not in
22 schizophrenia.

23 DR. DETRE: Only now are there trials underway to
24 determine the efficacy of the first generation of antidepres-
25 sants. for the prevention of recurrent episodes. So this is a

1 new standard.

2 DR. LEBER: Speaking of new standards, there is no
3 fixed requirement of law or regulation --

4 DR. DETRE: I know.

5 DR. LEBER: -- on -- and another thing, and that is
6 this abuse potential issue. We do not have a fixed panel of
7 ways of assessing, and I think that's fair too. There are cir-
8 cumstances where I think we have a high index of suspicion,
9 and ask for a study of the drug for self-administration poten-
10 tial.

11 I think that more recently we have dealt with acti-
12 vating drugs, and we have been sorry we haven't asked. But
13 there isn't one in existence, but that doesn't preclude you
14 from enclosing one. It's just that we don't have a standard,
15 pre-fixed one, and it's not the company's obligation to provide
16 one, by the way, unless we ask for it now.

17 DR. LEMBERGER: I think, in your initial comment,
18 you talked about the chemical structure possibly being close to
19 amphetamine. Basically, the side chain is similar to those
20 seen on the tricyclic antidepressants. It's a 3-carbon with
21 nitrogen. But if one were to reverse the oxygen and the car-
22 bon on there, basically you'd have the benadryl structure.

23 In the literature, it was shown that benadryl pre-
24 vented the uptake of amines into the heart, and one of our
25 chemists reversed the carbon and the oxygen, and was able to

1 demonstrate that this series of compounds also could affect up-
2 take of norepinephrine, and that was nisoxetine, which was the
3 lead compound.

4 Then when fluoxetine was discovered, it was shown
5 that it had no effect on catecholamines. But it basically is
6 an antihistamine structure, rather than an amphetamine struc-
7 ture.

8 DR. LEBER: While you're talking about structure,
9 Bob Temple, who left, had a question. He was very concerned
10 because of zimelidine's history as a 5-HT uptake blocker, and
11 the introduction of Guillan-Barre-like syndromes -- whether
12 or not there is any similarity between this drug, pharmaco-
13 logically, and zimelidine structurally -- you can answer that
14 into the record, and secondly, whether there has been a report
15 of any syndrome mimicking or looking like the zimelidine?

16 DR. LEMBERGER: I could answer that, but I'll leave
17 that to Dr. Wernicke. The structure -- there is no similarity
18 of structure, but we have done a careful analysis of the zimeli-
19 dine issue, and patients have been crossed over, and maybe --

20 DR. WONG: I can draw it on the board.

21 DR. LEMBERGER: There may be --

22 DR. LEBER: As long as somebody from there doesn't
23 object.

24 DR. THOMPSON: There are two questions on the table.
25 One is in regard to the long-term use of the drug. We can

1 show you the data that we have, but exactly, as Dr. Leber said,
2 these are not patients that have been re-randomized to therapy,
3 but those who have been continued on, either on fluoxetine or
4 comparator, when we looked at the relapse rate.

5 The second question, in regard to zimelidine syn-
6 drome, on that, we have some very specific data. As you will
7 recall with zimelidine, it was reported that a flu-like illness
8 occurred in anywhere from 3 percent to 10 percent of the
9 patients who were given zimelidine, largely occurring within
10 the first six weeks of therapy, in addition to which there were,
11 to my knowledge, between eight and thirteen patients who had a
12 neuropathy similar to the Landre-Guillan-Barre neuropathy.

13 And we have looked at both of those, and Dr. Wernicke
14 can address those issues.

15 DR. WERNICKE: We have been concerned about this
16 exact issue, and we have looked at our database in a number of
17 ways, and what -- let me just tell you some of the things we
18 have done. Perhaps I could have the lights off?

19 We looked at the frequency of some of the phenomena
20 that have been related to the zimelidine syndrome -- chills,
21 fever, headache, myalgia, rash, malaise, arthralgia, liver dys-
22 function, and have not noted an increase in -- with regards to
23 comparator.

24 Now, some of these will happen with any kind of viral
25 illness, and of course there have been some with fluoxetine.

1 But we looked at relative incidences.

2 Then we looked for patients with a computer search,
3 that had this specific complex, and could not find any. But I
4 think the most telling evidence is that there are three
5 patients, two in the U.K. and one in Canada, that have had
6 zimelidine and had that syndrome, and also had fluoxetine and
7 have not had it.

8 Now, we have done a little bit further analysis
9 than that slide shows. Let me show you just some of the spe-
10 cific data, because the Swedish authorities asked that same
11 very good question.

12 This is some of the actual data of some of the fre-
13 quency of some of the cardinal features of this syndrome --
14 headache is seen with comparable frequencies in all of the
15 groups, and so are the others. We looked at things -- report-
16 ing of influenza is probably none of these true influenza,
17 but people use that for flu-like illness, and there just isn't
18 any difference, statistically.

19 These are all the things that we have done. We have
20 looked at the reports of flu-like illness, and as I've shown
21 you -- well, actually, those were specific incidents, but then
22 we just looked for flu, influenza, or flu-like illnesses,
23 which were in codeable terms, and the same as in the compara-
24 tor.

25 We looked at the patients who had some symptom and

1 also had some elevated liver functions at that time, and none
2 of those had this syndrome, in the best way that we could deter-
3 mine.

4 We looked at the frequency of multiple symptoms, and
5 basically did a computer search to list anybody that has had
6 five symptoms, four symptoms, three symptoms, and then look at
7 the comparators -- the number that had five, four, three or
8 two, and was the same in all the groups, and I can show you
9 that data in a second.

10 Then we looked at, as I just showed you, the indivi-
11 dual symptoms in the comparative groups, and there was no
12 increased frequency. And then we looked, because fever is some-
13 times associated with this syndrome, we looked at the people
14 who had fever, and again found nobody that had that symptom.

15 And at the bottom, I listed again some of the fea-
16 tures of that syndrome. Can I have the next -- this table
17 shows -- what we asked here was, it is known that zimelidine
18 is reported in the first two to three weeks. So we asked for
19 the data, what is the frequency of any flu-like illness over
20 time, compared to a pool of the tricyclics in week one, two,
21 and so forth?

22 These are the frequencies of either the flu-like ill-
23 ness, influenza, or viral infection, and all of the numbers
24 of course aren't exactly the same. There is no statistical
25 difference.

1 Next on the slide is that we pooled the frequencies
2 for the first three weeks, and again there was no significant
3 difference. Next slide, please?

4 On this slide, as I showed you on the overall, we
5 looked at the frequency of patients in all the groups who
6 reported either zero, one, two, three, four, five of these
7 symptoms -- chills, fever, and so forth, and what one sees is
8 that fluoxetine -- first of all, none -- no patients on fluoxe-
9 tine -- 78 percent of the patients on fluoxetine reported none,
10 as opposed to almost 60 percent on imipramine.

11 And fluoxetine, other than doxipen, has the least
12 frequency of reports of anything. Then as one goes down to
13 one, two, and three symptoms, the same pattern holds true.
14 That basically is that imipramine is associated with a lot of
15 symptoms, and is followed by amitryptilene, and so forth. And
16 in fact, placebo -- one symptom was reported by more placebo-
17 treated patients than by fluoxetine-treated patients. Next
18 slide?

19 Here, I think this is the same slide, basically. It
20 lists the frequency of individual adverse events in that three-
21 celled study.

22 So, in summary, I feel we have looked at this, and
23 detailed simply everything we could think -- every way we
24 could think of looking at it, we did, and we have come to the
25 conclusion that we don't have it.

1 Now, Dr. Chouinard, who unfortunately had to catch a
2 plane, has treated patients with zimelidine. One of those
3 crossover patients was his. We gave him all that data. He has
4 seen the zimelidine syndrome, and he says there is absolutely
5 no doubt in his mind that we don't have one case of that.

6 Now, again, we have had 3,000 patients.

7 DR. LEBER: I think one of the concerns I have -- I
8 don't know, really, what the incidence background rate of zi-
9 melidine's flu-like syndrome really is. If it's a very, very
10 low rate, and I don't think -- we certainly don't know here
11 what its rate is, the number of patients you have looked at may
12 not be enough to tell. In fact, you could be looking at the
13 wrong kind of flu-like illness.

14 So, it may be, depending, as I think, on the base
15 rate of zimelidine risk for this syndrome, the total number of
16 patients, and the distribution in time of the zimelidine risk,
17 and I don't know that, either.

18 I mean, you said that most of them have their onset
19 within the first two weeks?

20 DR. WERNICKE: Yes.

21 DR. LEBER: I don't really personally -- I am not
22 that familiar with the distribution of the syndrome, but I
23 think it would be important to set an upper window, or an upper
24 limit, on how much risk you can really exclude, and that would
25 depend upon what the incidence of the zimelidine syndrome is.

1 DR. WERNICKE: Let me clarify. We did not look just
2 in the first two weeks. We looked at all patients. Their
3 incidence is, in the literature, estimated at between 1-1/2
4 and 10 percent of patients on zimelidine, having that syndrome.

5 That's what the literature has, and people from
6 Sweden have assured us that there has actually been a -- that
7 seems to be what it was. Now, why wasn't that seen earlier?
8 I don't understand that either, but that is what people are
9 saying.

10 DR. LEBER: It wasn't seen earlier? That is very
11 interesting.

12 DR. WERNICKE: Well, I can't really comment on that.

13 DR. LEMBERGER: You're right that you may not see
14 something in a small population, that may show up in 700,000
15 people or in 100,000 people. One of the things that gives us
16 some degree of confidence is, being that the zimelidine syn-
17 drome was of an immunologic mechanism, in the crossover
18 studies, we didn't see it.

19 So if the same factors were associated, maybe a part
20 of the molecule, or serotonin -- not the mechanism, per se, of
21 serotonin uptake, but something inherent in the molecule, then
22 we might have expected that the zimelidine-reacting indivi-
23 duals might in fact react to fluoxetine, which was not the
24 case.

25 So that crossover data gives us some degree of good

1 feeling, but you're right, you wouldn't know. It may be in a
2 couple in a million patients, or something.

3 DR. WONG: Our colleague Dr. Wickstrom, a colleague
4 of Dr. Everett Carlson, who initiated the project of synthe-
5 sis of zimelidine with Astra, in Sweden, and showing that --
6 pointing out to me that the (inaudible) of zimelidine perhaps
7 is due to the possibility that there is a double bond, and the
8 possibility of forming an aldehyde in the mediate, and which
9 might -- well, that is speculation, the covalent binding to
10 some protein in the circulation, whereas it would not be pos-
11 sible with fluoxetine.

12 DR. DETRE: Any other questions from the committee?
13 Yes, Dr. Steinbach?

14 DR. STEINBACH: You said that there was related
15 anxiety associated with depression, and I wasn't real sure
16 where that came from. I thought we were worried more about the
17 side effects of anxiety from the medication.

18 DR. THOMPSON: That's a good question. You will
19 recall that all the patients in those trials had their anxiety
20 assessed with the Cobe anxiety scale at the beginning and
21 throughout the study, and that, in fact, to exclude patients
22 who had predominantly anxiety, the patients had to have a
23 greater Raskin depression score than their Cobe anxiety score.

24 But the measurement by the Cobe anxiety score pro-
25 vided a means of assessing the improvement in anxiety,

1 associated with depression, during the treatment, and fluoxe-
2 tine significantly decreases the anxiety score on the Cobe
3 scale in these patients that have predominantly depression.

4 Now, there is a simpler issue in regard to anxiety as
5 a side effect, and those are reported by the investigator as
6 adverse events. We go to a lot of effort to point out to the
7 investigators that we want them to tell us events -- everything
8 that occurs.

9 Obviously, they have to select, in interviewing the
10 patient, whether or not they're going to put anxiety down as
11 an adverse event. We have no control over their following our
12 instructions, so we have presented two different kinds of data.

13 There is relief of anxiety as measured by the Cobe
14 anxiety scale, when it's associated with depression, and the
15 report of anxiety as an adverse event in the trials.

16 So it seems paradoxical, but I think the data would
17 suggest that both events occur -- that some people get anxiety
18 as an adverse event, and that, overall, anxiety in association
19 with depression is improved.

20 DR. DETRE: Any other questions?

21 I would like to ask one. I was wondering whether,
22 indeed, early non-blind, non-controlled studies, doses higher
23 than 80 milligrams were used or not?

24 DR. THOMPSON: Dr. Lemberger, I think, can address
25 that, because you used higher doses in a few volunteers, didn't
you?

1 DR. LEMBERGER: We haven't used doses higher than 80
2 milligrams in depressed patients voluntarily. I mean, some
3 individuals, as in Dr. Chouinard's example, took more than was
4 recommended, but in normal volunteers, in the initial dose
5 ranging, we dosed up to 90 milligrams, single oral dose, and
6 then in the dose proportionality study, with single doses, we
7 gave -- the known cap, we'll say, 60 to 80 milligrams, plus we
8 gave solutions of fluoxetine, and I think the total dose was
9 120 milligrams, to the case that was receiving the highest
10 dose. But the solution, which also contains the material, was
11 used as an internal marker to demonstrate absorption, and so on.

12 DR. DETRE: Thank you.

13 DR. LEBER: Can I clarify what your point is, Dr.
14 Detre? What are you going after?

15 DR. DETRE: Well, I was interested to find out why
16 the therapeutic dose was established between 20 and 80 milli-
17 grams.

18 DR. LEMBERGER: Perhaps Dr. Wernicke can address
19 this issue after, but the point is that, when the dose -- when
20 the initial clinical trials were carried out, the investiga-
21 tors were allowed to increase the dose, based upon increasing
22 it 20 milligrams, until a maximum of 80.

23 The slide that I showed was one of the very early
24 studies that we did. We looked at the uptake of serotonin
25 into platelet, we selected a dose that would affect uptake in

1 the platelet as a model for the brain. So we started with 30
2 milligrams. We kinetically modeled that to try and give us a
3 blood level which would be continuous through that period, and
4 that was established by giving 30 milligrams for seven days,
5 and then 20 milligrams for the remaining 23 days.

6 Herb Meltzer tested that specific dose regimen, and
7 found, in the few patients that he looked at early on who were
8 refractory to other drugs, because that was one of the first
9 trials -- that it was ineffective, basically, in his patients.

10 So then what we did is, we decided that we would
11 start at 20 milligrams, and allow the investigators to increase
12 the dosage up to a maximum of 80, to try and demonstrate
13 early on whether there was efficacy, because --

14 DR. LEBER: One thing. Why did you stop at 90 in
15 the single-dose ranking tolerance study?

16 DR. LEMBERGER: Well, we stopped at 90 milligrams
17 because we had written our initial protocol to do those
18 studies up to 90 or 100, I forget.

19 DR. LEBER: But that was the protocol.

20 DR. LEMBERGER: That was the protocol, and then
21 being that we did get significant effects -- and during that
22 study, we harvested platelets, and being that we did get sig-
23 nificant effects on the uptake of serotonin, we felt, using
24 our, quote, "bioassay," that we were in the ballpark that we
25 wanted to be, if we could demonstrate it, because there were

1 no -- basically no behavioral --

2 DR. LEBER: It's actually a generic one, that was
3 going to use your behavior to discuss, to save the audience,
4 but I think it's important. There is a great dispute about how
5 you -- when you should stop rising dose-tolerance studies,
6 particularly since we're in a situation now where the highest
7 you have done in normal volunteers is dramatically close to the
8 dose you want to use, basically overlapping.

9 And there is a group of people who like the idea of
10 limiting early human pharmacology tox. testing to that. You
11 run the dose up until you get into trouble, and it is impor-
12 tant to determine whether the upper dose was limited by proto-
13 col or by toxicity.

14 And you're saying you didn't have toxicity at 90
15 milligrams. You could have gone higher, to where you could
16 look at single-dose toxicity in normal volunteers.

17 DR. LEMBERGER: Basically, our philosophy is that if
18 we do have a handle that we can attach, whether it is a bio-
19 chemical effect or an antiarrhythmic, say, a blood level or
20 something, then we will try to go through that range, and then
21 we go through the clinical trial.

22 DR. LEBER: That's more for safety than it is for
23 efficacy.

24 DR. WERNICKE: I'd like to add something to that. It
25 is true that, in the clinical trials, 80 was the upper limit,

1 but just so -- to be complete, in the compassionate use
2 patients, namely Dr. Chouinard's patients, he has several
3 patients on 100 milligrams, and he feels that some people
4 respond better. That, again, is not real data. That's just
5 clinical perception, and he is quite satisfied with that res-
6 ponse. So I believe there are four or five patients that are
7 getting 100.

8 DR. DETRE: Any other questions? Yes?

9 DR. STANLEY: While Dr. Wernicke is up there, you had
10 mentioned before that, with regard to the side effects of in-
11 somnia, that those people who displayed the side effect early
12 on in the drug trial tended to -- their level of insomnia
13 tended to persist throughout, and that was over quite a long
14 period of time.

15 DR. WERNICKE: Yes.

16 DR. STANLEY: I was wondering two things. Is it
17 also the case, or do you have data on that, for the symptoms of
18 anxiety, and also for the nervousness? Is that a similar pro-
19 file?

20 DR. WERNICKE: It goes down. Give me just a second
21 and I'll get those out for you.

22 DR. STANLEY: Okay.

23 DR. LEBER: One of the things the committee is -- we
24 need your help on is to advise us on what else we should ask of
25 Eli Lilly before we would consider granting final approval?

1 It's not that we don't think the drug has evidence of efficacy.
2 It seems reasonably safe, given that evidence.

3 But we would like to know, what are the things that
4 we haven't been smart enough, that you, in your wisdom, think
5 we ought to have, either as premarketing demands or post-
6 marketing demands? Your advice on that issue -- and during
7 this period, it doesn't have to be a formal motion, even. It
8 can just be a discussion.

9 DR. WERNICKE: The only one I have is anxiety, but
10 I've looked at the first reports, and it follows a similar
11 pattern. You see that all reports tend to sort of dwindle
12 down with time, not as much as the nausea. I remember that one
13 went down by four weeks, pretty much, and insomnia was pretty
14 consistent. Insomnia was sort of in between, but again, the
15 first reports seemed to be mostly in the beginning, and then
16 they dropped down.

17 Lest somebody think there is an increased frequency
18 at the end, this is an artifact produced by the fact that there
19 are less and less patients, and any one contributes a greater
20 percentage. This is a percent report, so you really just have
21 to take a trend.

22 DR. STANLEY: The other question I wanted to ask you,
23 related to the side effects of anxiety -- I think that was
24 reported with about a one in four or one in five incidence of
25 patients -- 20 percent, something like that.

1 DR. WERNICKE: Right.

2 DR. STANLEY: And nervousness was similar.

3 DR. WERNICKE: Right.

4 DR. STANLEY: What would happen if you pooled them?

5 DR. WERNICKE: Pooled them? Can you just give me a
6 second and I'll pull that out?

7 DR. STANLEY: Sure.

8 DR. FULLER: While Joe is looking for that acetate --
9 Ray Fuller. I just wanted to make one further comment about
10 the comparison to zimelidine.

11 This is obviously a question we have thought about a
12 great deal -- was there really a likelihood that fluoxetine
13 would have the same kind of side effects as zimelidine, and the
14 structural similarity, I think, is not any greater than -- I
15 think the structural resemblance of fluoxetine is probably
16 greater to other drugs than to zimelidine in general.

17 But the thing that they do share in common, of
18 course, is their ability to inhibit serotonin uptake. So
19 there could be zimelidine side effects, that could be related
20 to inhibition of serotonin uptake, per se. I think one doesn't
21 know that absolutely, but bear in mind that these are not the
22 first two drugs to inhibit serotonin uptake. A lot of other
23 drugs do that. They simply don't do it selectively, but drugs
24 like imipramine, amitryptilene, et cetera, which have been
25 used widely for a long time, do inhibit serotonin uptake in

1 humans, as evidenced by, for example, the reduction in seroto-
2 nin levels in the blood platelets. And those particular side
3 effects are not always associated with those drugs.

4 Now, one could always argue that possibly some other
5 actions of those drugs, counteract the influence, but that
6 seems somewhat unlikely, so that it would seem the best guess,
7 at this point, that inhibition of serotonin uptake, per se,
8 would not produce those symptoms.

9 DR. WERNICKE: In this acetate, what we have done is
10 tried to anticipate all the things that could be put together,
11 and just to be sure that we weren't missing something by
12 listing them separately, anxiety, nervousness, in this case,
13 includes the terms anxiety and nervousness.

14 Although fluoxetine -- well, we looked at the three-
15 celled study, because that's where we have a comparison. It
16 was 21 percent, with imipramine, 17, and placebo, 11, with flu-
17 oxetine being significantly more frequent than placebo, but
18 there is no statistical difference between the others.

19 Likewise, we have pooled other things, nausea --
20 everything that could possibly be related, and we have done
21 that for all the adverse events that we could conceive of.

22 DR. STANLEY: So in other words, you're saying,
23 then, that those people who display the side effect of anxiety
24 are the same people, more or less, that show nervousness?

25 DR. WERNICKE: Right. Some did one, some did both.

1 and some did -- a lot of them did both, because often what
2 happened with anxiety and nervousness is that the patient would
3 come and say, "Doctor, I'm nervous," and they would write that
4 as an adverse event. And we'd have an adverse event, and then
5 cause, so their physician would write in "anxiety," so that
6 would get quoted in both places. So a lot of them do overlap.

7 So, just to be sure that -- we had that exact same
8 question, for the same reasons you have -- we pooled every-
9 thing that we could conceivably think of, that might be, really,
10 the same thing, in those data.

11 DR. DETRE: Thank you.

12 Well, ladies and gentlemen, I suppose we could put
13 an end to the extremely open discussion, and start a more
14 limited and focused one, and I would like to ask members of the
15 committee and others around the table, who would like to give
16 additional ideas to Dr. Leber and the FDA about potential
17 issues that need to be clarified, in the process by which this
18 drug may move throughout approval, and perhaps we should start
19 with Dr. Preskorn and go around.

20 DR. PRESKORN: Well, my looking at the data, in terms
21 of antidepressant efficacy, shows a drug that does have anti-
22 depressant efficacy in comparison to placebo, in the majority
23 of the studies -- perhaps less generalized efficacy than imi-
24 pramine.

25 The major questions that I would have would be on

1 the safety side of the issue, in terms of this drug being
2 marketed as an antidepressant, also specificity of action, such
3 as whether the drug has any action in generalized anxiety dis-
4 orders -- whether it has been tried in those conditions.
5 What is its effect in patients with psychotic depression; and
6 then particularly the issues of whether this drug, given the
7 fact that I suspect the clustering of anxiety, nervousness, and
8 a general stimulant effect -- is there any potential for this
9 drug to be abused, self-administered, and is it discriminated
10 from psychostimulants in animals?

11 DR. DETRE: Dr. Stanley?

12 DR. STANLEY: I would concur with Dr. Preskorn's
13 assessment of the efficacy, and also, my concerns are, again,
14 mostly in the area of safety. And I think that the represen-
15 tatives from Lilly have shown some data that kind of addresses
16 some of the issues that I had in my mind when I came to the
17 meeting, but I don't think these data were prepared in time for
18 this meeting, in time for FDA review.

19 And I think that it would be important to include in
20 their future submissions, the -- those side effects, and I
21 was particularly interested in the activating one, and their
22 time course, if this is available, and maybe, since this is --
23 has been identified, and things like insomnia tend to persist,
24 whereas the anxiety seems to go down, maybe these should be
25 looked at a little more systematically by the clinical

1 researchers in the field, and also I think Dr. Thompson had
2 mentioned, just before we broke for lunch, that there have been
3 some more recent studies which have looked at the efficacy of
4 fluoxetine, and lower doses, 20 and 40 milligrams, and perhaps
5 that data could also be incorporated in future submissions to
6 the FDA, again with particular emphasis not only on the effi-
7 cacy, but also to see if this in any way changes the overall
8 incidence rate of occurrence of the side effects that have
9 been noted for the compound.

10 That's all.

11 DR. LEBER: Mike, could you go into a more practical
12 light, in one sense? Do you think these are issues that could
13 prevent approval at this time?

14 DR. STANLEY: No.

15 DR. LEBER: Okay, and I would like to go back and
16 ask Dr. Preskorn the same question. Do they preclude approval,
17 or are these nice things to know? He can get it later, or he
18 can work it out in the labeling?

19 DR. PRESKORN: Well, I think that the drug shows
20 efficacy, and I think the only thing would be, if there is a
21 significant abuse potential, then, that would be a concern
22 that would have to be considered, in terms of probably the
23 labeling of the drug for widespread use.

24 The other -- sort of following up on Stan's comment
25 is that, at least in the trials that we primarily have, the

1 lower doses were not tested, because, given the long half-life
2 of this compound, the acceleration of dose was rather rapid,
3 and if, in fact, antidepressant efficacy is related to steady-
4 state concentration of drug, those doses were not tested, at
5 least in the data that was primarily presented.

6 DR. LEBER: Excuse me, I don't understand that.
7 They got antidepressant efficacy at lower doses than -- at
8 lower serum concentrations, than you would anticipate are
9 possible to -- in other words, if they're running up this drug,
10 and they had a lot of accumulation, and they looked at it
11 early, and basically they found that the levels that are lower,
12 are you concerned that they could get it at -- that we haven't
13 looked at higher levels of serum concentrations, whether it
14 retains its efficacy?

15 DR. PRESKORN: No, I think they're -- I think that I
16 would stand on the fact that they have really escalated up to
17 60 to 80 milligrams within the first week, to 14 days, and
18 one would expect to see attainment of steady-state concentra-
19 tions on those doses.

20 So if one is advancing it, one is advancing it more
21 on the absence of side effects than --

22 DR. LEBER: Absolutely, but is there anything in
23 the data that you're talking about that would influence the
24 conclusion about their study showing efficacy?

25 DR. PRESKORN: No.

1 DR. LEBER: Okay.

2 DR. DETRE: Dr. Steinbach?

3 DR. STEINBACH: I think the only point I'm a little
4 uncomfortable about is the narrow dose range of the dose, and
5 I've always sort of kicked the dose of an antidepressant up,
6 and I want to know what happens if my patient doubles the dose
7 that I put him on, because I've found that patients tend to do
8 that. Maybe it's because I'm from Texas, and we think our
9 depression is twice as bad, but what happens if the patient
10 doubles the dose? And that would be a question I would want
11 to know.

12 DR. DETRE: Couldn't the same question be raised
13 about digitalis?

14 DR. STEINBACH: Yes, yes, but that --

15 DR. DETRE: This is really not a specific one.

16 DR. STEINBACH: And I would also want to know how
17 it works with lithium, because that's a common clinical problem.

18 DR. DETRE: Dr. Carter?

19 DR. CARTER: I'm only capable of talking about the
20 analysis of the data, and I agree with the analysis that Dr.
21 Chi did, in addition to those done by the company, and I would
22 concur with the inferences drawn from those. And that is just
23 about all I can add.

24 DR. DETRE: Dr. Chien?

25 DR. CHIEN: I don't know whether it's an advantage or

1 a disadvantage to be the last in the committee, but most of
2 the points have already been brought up by our distinguished
3 committee members.

4 However, it seems like, since I come from Los
5 Angeles, which cost the taxpayers most money, I like to say a
6 few words.

7 I think this is a very interesting drug. I think, in
8 the American psychiatric market, other than tricyclic, exclud-
9 ing amine inhibitors, I think this drug really presents some
10 interesting challenge, and also usability for the clinician,
11 who may fail to treat all the patients who have gone through
12 almost all kinds of gamuts. So at least this should give them
13 a new horizon, I hope, to treat those so-called treatment-
14 resistant patients.

15 In terms of the clinical efficacy, again, I have
16 some kind of doubts in the beginning, depending on how you
17 look at that. If John Davis came up with another literature
18 review over the past ten years, and published a double-blind
19 controlled study on (inaudible), your protocol 27 may end up
20 50-50. So, really, -it is important to analyze the data very
21 carefully.

22 As I said before, I really am interested in our
23 lesson this morning. Other than the different chemical struc-
24 tures, the lack of anticholinergic property also can provide
25 some interesting opportunities for those who simply cannot

1 tolerate anticholinergic side effects. I guess this is also
2 another indication for this drug.

3 I don't have much kind of concern or reservation, not
4 to recommend approval of this drug at this time. However, I
5 like to follow up a few points, that was not actively dis-
6 cussed in our previous discussion. That was the increased
7 LDH and the decreased hemoglobin. I don't know what it means
8 clinically in the long-term study.

9 Also, in terms of the issuance of side effects, such
10 as anxiety or insomnia or nervousness, these are the common
11 characteristics of the depression that we are treating with.

12 The way that the company came up to differentiate
13 side effects versus primary or target symptoms to treat with,
14 seems to be too simplistic at this point.

15 I would like to see how many so-called normal sub-
16 ject would suffer from this so-called unique stimulant effect.
17 If we have a similar instance of insomnia or nausea or ner-
18 vousness among non-depressive patients, then I would be much
19 more convinced that this is indeed drug-related side effect,
20 for FDA or a clinician to warn about their patient.

21 Lastly, I share the same concern with Dr. Steinbach
22 about the relatively narrow range of so-called safety, to
23 switch over to the manic side in the bipolar patient. We only
24 heard one case from Dr. Chouinard, saying when he used 140
25 milligram a day, then he got it.

1 We have seen many other so-called switchover examples
2 on other tricyclic antidepressants. On the other hand, I heard
3 from Dr. Wong that in the animal study, he has used up to --
4 how many, 40 milligrams per kilogram, without causing any
5 trouble. So I got the impression from the animal studies that
6 this drug seems to have a very high range of safety.

7 However, when it comes to treat the depressive phase
8 of the bipolar patient, I'm not so sure we have such a high
9 index of safety, and I don't have that data to tell my resident
10 or my family physician what to look for.

11 Therefore, I think even postmarket surveillance in
12 that area would really help us a lot.

13 Thank you.

14 DR. KAPIT: I'd just like to say a couple of words
15 about the hemoglobin and LDH questions that you brought up.
16 Those were questions I raised before the statistical review of
17 the NDA had been completed, and the hemoglobin question was
18 raised as a result of a fairly small number of patients who par-
19 ticipated in open trials early on in the study of the drug,
20 and these relatively small studies -- there seems to be, for
21 some reason, an unusually large number of patients whose hemo-
22 globin declined between 1.5 and 2.5 grams, and we don't have
23 any explanation for that.

24 However, when we looked at the controlled trials in
25 the larger number of patients, this early suspicion was not

1 borne out. The LDH, we're talking about a laboratory value
2 change. There was no question ever raised about any clinical
3 changes in patients. There was some elevation in some patients
4 in LDH between -- about 200 percent of normal value, the upper
5 limits of normal.

6 Again, this did not prove to be clinically sig-
7 nificant -- statistically significant, when the review of the
8 controlled studies was done. So we don't think either of those
9 appear to be a problem.

10 DR. LEBER: Again, I would just point out, remember
11 the issue of multiplicity? We usually think about it in con-
12 trolled clinical trials, but it certainly applies to labora-
13 tory tests as well. If you do enough, a few of them are going
14 to lie outside the normal range, and that is not unexpected.

15 We rely more, I think, on trying to find syndromic
16 events, big-ticket items that happen to the patient to cause
17 their death, discontinuation from clinical studies, or some-
18 thing of major concern.

19 If you study any large body of patients with multiple
20 drugs and diseases, you will probably find outliers, and they
21 may drag the mean a bit, but we have no real way of dealing
22 with that.

23 We use cross-tabs, by the way. Rich did a nice job
24 in displaying a lot of these, with the help of the firm, in
25 which we tried to look at entry scores versus the highest

1 exit score, or the highest score obtained to look for out-
2 lyers, rather than just look at mean. And then we usually go
3 back and look at the outliers, and try to identify them on a
4 case-by-case basis, to see whether or not they had anything.

5 Well, in going through that, we still haven't come up
6 with anything. It doesn't mean that we won't in the next safety
7 review, but the question I come back to the committee with,
8 after everyone has their doubts -- the FDA always has its
9 doubts, the firm always has its doubts.

10 But given the evidence presented, given the evidence
11 of efficacy and the degree of risk seen, in your judgment, does
12 this seem like a reasonable antidepressant drug product? It
13 obviously is not going to be risk-free, and that is really the
14 question I want the committee to say.

15 The other things that you've said are advice, about
16 how to approach it, what questions we need to ask. But can we
17 get a closure on that kind of question, Mr. Chairman?

18 DR. DETRE: Well, you just presented your statement

19 DR. LEBER: Thank you.

20 DR. DETRE: -- what you had, asking us to determine
21 whether there is any reason to prevent this process from mov-
22 ing forward.

23 That doesn't mean guarantee of approval, but whether
24 or not there are any major concerns, or even not so major con-
25 cerns, which would warrant further delay, or any specific

1 questions or advice we may give you. Well, we have already
2 given you our best advice -- it may not have been very good.

3 Now, I suppose we should move on the question of rea-
4 sonable safety, which is all we can move toward, and would the
5 committee please -- somebody move, or do we need a formal mo-
6 tion for that?

7 DR. LEBER: Well, we had a question, I think, in the
8 approach to the committee.

9 DR. DETRE: All right, why don't you read that?

10 DR. LEBER: Maybe I can restructure it, if you don't
11 mind.

12 DR. DETRE: Not at all.

13 DR. LEBER: Well, we have really asked you to examine
14 the basis for the conclusions, that is, that the product has
15 antidepressant efficacy and appears safe, given that claimed
16 use. Do you endorse our judgment? A simple yes or no, really,
17 will happen on that level, and if you don't endorse our judg-
18 ment, tell us and the firm what to do.

19 So you can turn that into a motion any way you want,
20 but the first part is --

21 DR. DETRE: All right. Hands up -- who endorses the
22 judgment?

23 (A vote was taken.)

24 DR. DETRE: All right, that's unanimous.

25 Next question. Any more questions?