

SGG

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
PUBLIC HEALTH SERVICE  
FOOD AND DRUG ADMINISTRATION

PSYCHOPHARMACOLOGICAL DRUGS ADVISORY COMMITTEE  
Thirty-Third Meeting

9:00 a.m.

Monday, November 19, 1990

Conference Rooms D & E  
Parklawn Building  
5600 Fishers Lane  
Rockville, Maryland

12

P A R T I C I P A N T S

Committee Members:

Daniel E. Casey, M.D., Chairman

John M. Davis, M.D.

Markku I. Linnoila, M.D.

Robert F. Prien, Ph.D.

Javier I. Escobar, M.Sc., M.D.

Jeffrey A. Lieberman, M.D.

Robert Mark Hammer, Ph.D.

Linda Frances Hezel, Ph.D.

Carol Ann Tamminga, M.D.


FDA Staff:

Paul Leber, M.D., Division Director

Thomas P. Laughren, M.D., Group Leader

## C O N T E N T S

Call to Order and Introductions	4
Opening Comments, Paul Leber, M.D., Director, Division of Neuropharmacological Drug Products	6
NDA-19-839 <u>Sertraline: Safety and Efficacy</u> <u>Considerations for Use in the Management of</u> <u>Depression:</u>	
FDA Presentations:	
Clinical Review of Efficacy Data, J. Hillary Lee, Ph.D.	7
Statistical Review, Ed Nevius, Ph.D.,	19
Statistical Review, Japobrata Choudhury, Ph.D.	24
Safety Data from Clinical Trials, James F. Knudson, M.D., Ph.D.	28
Pfizer Pharmaceuticals Presentation, Steven Ryder, M.D.	39
Discussion	48
Summary and Closing Comments, Thomas P. Laughren, M.D., FDA	55
Discussion and Recommendations	65



P R O C E E D I N G S

1  
2 DR. CASEY: Good morning. It is my pleasure to  
3 call this meeting to order and to welcome everyone to the  
4 33rd meeting of the Psychopharmacological Drugs Advisory  
5 Committee. My name is Dr. Daniel Casey. I am from Portland,  
6 Oregon where I am on the staff of the VA Medical Center and  
7 the Oregon Health Sciences University. I have the pleasure of  
8 being the Chairman of this Committee.

9 Our first task should be to have other Committee  
10 members and people at the table introduce themselves.

11 DR. LEBER: I am Paul Leber, Director of the  
12 Division.

13 DR. PRIEN: Robert Prien, Director of Clinical  
14 Psychopharmacology, Division of Clinical Research of NIMH.

15 DR. HAMMER: I am Bob Hammer. I am a psychiatry  
16 and a statistics professor at the Medical College of Virginia.

17 DR. TAMMINGA: I am Carol Tamminga. I am professor  
18 of psychiatry at the University of Maryland.

19 DR. HEZEL: I am Linda Hezel and I am a professor  
20 of nursing at the University of Missouri, Kansas City.

21 MR. BERNSTEIN: I am Mike Bernstein. I am the  
22 Executive Secretary of this Committee.

23 DR. LIEBERMAN: Jeffrey Lieberman, Long Island  
24 Jewish Medical Center and Albert Einstein College of Medicine.

DR. DAVIS: John Davis, Illinois State Psychiatric

1 Institute.

2 DR. ESCOBAR: Javier Escobar, professor of psy-  
3 chiatry, University of Connecticut.

4 DR. LAUGHREN: I am Tom Laughren, Group Leader for  
5 Psychopharmacology at FDA.

6 DR. CASEY: Next, Mr. Bernstein has requested time  
7 for some administrative matters. Michael?

8 MR. BERNSTEIN: Thank you, Dr. Casey. I wish to  
9 welcome each of the Committee members to the 33rd meeting of  
10 the Psychopharmacological Drugs Advisory Committee. Addi-  
11 tionally, I would like to welcome two of our new members to  
12 the Committee, Dr. Tamminga and Dr. Hezel.

13 My name is Michael Bernstein and I am the Executive  
14 Secretary of this Committee, which functions within the  
15 Division of Neuropharmacological Drug Products. Please bear  
16 with me while I make a few administrative announcements.

17 (Administrative announcements)

18 A review of the agenda by the Committee Management  
19 Branch personnel indicates that no Committee member requires  
20 limitations on their participation at today's session, based  
21 on reported interests as of November 15, 1990.

22 The following announcement addresses the issue of  
23 conflicts of interest and is made a part of the record to  
24 address even the appearance of such at this meeting:

It has been determined that all interests in firms

1 regulated by the Center for Drug Evaluation and Research  
2 which have been reported by the Committee members present no  
3 potential for an appearance of a conflict of interest at this  
4 meeting when evaluated against the scheduled agenda.

5 In the event that the discussions involve any  
6 products or firms not already on the agenda for which a  
7 special government employee has a financial interest, they  
8 are aware of the need to exclude themselves from such  
9 involvement and their exclusion will be noted in the record.

10 With respect to all other participants, we ask, in  
11 the interest of fairness, that they address any current or  
12 previous financial involvement with any firm whose products  
13 they may wish to comment upon.

14 Lastly, NDA 19-832, Sertraline, will be the only  
15 issue discussed by the Committee at this meeting. Thank you  
16 for your attention and this concludes my comments, Dr. Casey.

17 DR. CASEY: Thank you. Next we will have some  
18 opening remarks by Dr. Leber.

19 DR. LEBER: Good morning. My remarks are going to  
20 be very brief. I would like to welcome the Committee to  
21 Rockville once again to discuss this issue which obviously is  
22 of great interest to many people. I particularly want to  
23 extend a welcome to the two new members of the Committee, Dr.  
24 Tamminga and Dr. Hezel. Thank you and I hope you enjoy your  
association with us over the years.

1 Without further ado, I think we should get on with  
2 today's business, having gotten a few minutes late start. I  
3 would like to mention too that Dr. Markku Linnoila just came  
4 in and is now sitting on my right, at the left side of the  
5 table.

6 DR. CASEY: Welcome, Dr. Linnoila. It is a  
7 pleasure to have you here.

8 We will now move to the open public session, which  
9 is now in progress for those who would like to make a public  
10 comment. Is there anyone who wishes to address the Committee?

11 (No response)

12 I believe that no one has expressed interest in  
13 making a comment to the Committee at the open public session.  
14 We will, therefore, move on in our hearing.

15 The topic for today's Advisory Committee meeting is  
16 NDA 19-839, Sertraline safety and efficacy considerations.  
17 Dr. Hillary Lee, clinical reviewer for the Division of  
18 Neuropharmacological Drug Products, will be the first  
19 speaker. Dr. Lee?

20 PRESENTATION BY J. HILLARY LEE, Ph.D.

21 DR. LEE: As you all probably know, Sertraline is a  
22 new antidepressant. Animal studies suggest it is a potent  
23 and selective inhibitor of neuronal serotonin reuptake and  
24 has only very weak effects on norepinephrine and dopamine  
neuronal reuptake. In this respect it is similar to flu-

1 oxetine.

2 The parent compound has a terminal elimination  
3 half-life of 25 hours and the major metabolite, N-desmethyl-  
4 sertraline, which is believed to be far less active, has a  
5 terminal elimination half-life of roughly 60-100 hours.

6 The NDA submission contains 6 double-blind,  
7 placebo-controlled trials evaluating Sertraline in major  
8 depressive disorder. There were 4 outpatient trials, 2 of  
9 which showed Sertraline to be more effective than placebo and  
10 these will be the focus of my presentation today. A third  
11 outpatient trial compared Sertraline, amitriptyline and  
12 placebo and found amitriptyline to be more effective than  
13 placebo. The difference between Sertraline and placebo,  
14 while in the expected direction, was not significant.

15 The first study was a continuation trial and it  
16 supported the results of the positive trials. I will include  
17 some comments on it in my presentation. There were also 2  
18 studies in inpatients which failed to demonstrate a dif-  
19 ference between Sertraline and placebo.

20 (Slide)

21 The first study is protocol 103. This was a  
22 randomized, fixed-dose design, which compared 3 doses of  
23 Sertraline (50, 100 and 200 mg) daily and placebo, without  
24 titration of dosage.

The study began with a 4-day to 2-week single-blind

01118

Motus/Pfizer

1 placebo washout which was followed by the 6-week double-  
2 blind phase. Patients were seen weekly for evaluations and 6  
3 centers participated.

4 (Slide)

5 The subjects were outpatients with a DSM-III  
6 diagnosis of major depressive disorder and were required to  
7 have a minimum HAM-D total of 22 on the 17-item scale.  
8 Subjects were excluded if they required psychotropic medi-  
9 cations beyond those allowed in the protocol, if they were  
10 not healthy or if they were resistant to antidepressants.

11 (Slide)

12 The primary efficacy assessments were the Hamilton  
13 Rating Scale for Depression, the Clinical Global Impression  
14 Scale and the Profile of Mood State. They yielded 5 key  
15 variables. The change from baseline for the HAM-D total  
16 score, HAM-D depression item, CGI severity item and POMS  
17 depression factor and the actual score for the CGI improvement  
18 item.

19 (Slide)

20 Some demographic characteristics: The mean age was  
21 37-38 years but the range was 18-65 years. There was a  
22 preponderance of females in the Sertraline-treated group, not  
23 in the placebo. The majority of the patient diagnoses were  
24 major depressive disorder recurrent. That was approximately  
60 percent of the subjects. That was followed by major

1 depressive disorder single episodes for 30 percent.

2 There was a total of 347 patients in the intent-to-  
3 treat population or approximately 90 patients per treatment  
4 group. The high dose Sertraline group had the smallest  
5 number of completers. Only 42 percent completed the trial.

6 (Slide)

7 Here are the reasons for dropouts. The first  
8 column is the number of patients entering the study. The  
9 final column is the total number that dropped out. The first  
10 two reasons, adverse events and lack of efficacy, were the  
11 only ones that differed among the treatments. High dose  
12 Sertraline had the most dropouts for adverse events, and  
13 placebo for lack of effect.

14 (Slide)

15 This slide on time of dropout shows that 63 percent  
16 of the high dose Sertraline dropouts occurred in the first 10  
17 days of the trial (19 plus 14). This compares with 38  
18 percent of the mid-dose group, and 29 percent of the placebo  
19 group, 28 percent of the low-dose Sertraline group. The  
20 effect of these early dropouts will be discussed quite  
21 extensively in the next section.

22 In the presentation of the results I will be  
23 focusing on two types of analyses which differ in the way  
24 they deal with the data from dropouts. The first is the last  
observation carried forward (LOCF) analysis, which is often

1 referred to as the endpoint analysis. In this analysis  
2 whenever a patient drops out of a trial, his scores at the  
3 time of dropout appear in each subsequent weekly analysis  
4 through the end of the trial. For example, if a trial is 6  
5 weeks long and a patient dropped out at week 3, his week 3  
6 scores would be used in the week 4 through week 6 analyses.  
7 The number of patients in the analysis is the same for each  
8 week.

9 The other type of analysis, the observed cases  
10 analysis, is often referred to as the completer analysis. In  
11 this analysis only subjects who are actually assessed at a  
12 time point are included in the analysis. If a patient drops  
13 at the beginning of week 4 after appearing for evaluation at  
14 weeks 1-3, he will be included only in the analyses for weeks  
15 1-3. The number of subjects decreases from one week to the  
16 next when dropouts occur.

17 For each of the key variables, I am going to show  
18 you the results for the last observation carried forward and  
19 the observed cases analyses for the intent-to-treat population  
20 because the results of these analyses differ for a number of  
21 items. I would like to begin with the HAM-D depression item.

22 (Slide)

23 Within each table are change from baseline scores  
24 for each week and each dose. The asterisks indicate the  
significant 2-tail comparisons with placebo. The total group

1 is all 3 Sertraline groups combined.

2 In this table it is clear that the results for the  
3 LOCF and OC analyses were similar. The LOCF is above and the  
4 OC is below. Most of the comparisons from week 3 on were  
5 significant. Each dose was also significant, as were the  
6 scores for the combined Sertraline group.

7 (Slide)

8 The HAM-D total presents a very different picture.  
9 Here the 2 methods of analysis give different results. If we  
10 look at the end of the trial (week 6) the LOCF analyses were  
11 significant for 1/3 treatments, low-dose Sertraline, compared  
12 with 2 significant OC analyses.

13 (Slide)

14 The same difference between LOCF and OC analyses  
15 appears with the CGI severity score. At week 6 again, 1/3  
16 treatments was significant for the LOCF analyses and 2/3 for  
17 the OC analyses.

18 (Slide)

19 For the CGI improvement score, 2 LOCF analyses and  
20 2 OC analyses were significant at week 6.

21 (Slide)

22 In the POMS the majority of both the LOCF and OC  
23 analyses were significant from week 3 onward.

24 So when we look at the results for each variable, 2

variables were consistently significant by both methods, the

1 HAM-D depression item and the POMS depression factor. The  
2 other 3 variables showed weakness in the LOCF analyses.

3 (Slide)

4 When one looks at the results by individual  
5 treatment, there are several trends. This is the same 5  
6 tables you saw before condensed into 1 slide. Here the  
7 asterisks indicate a significant comparison with placebo.

8 There are several trends I would like to point out  
9 here. As we saw in the individual slides, there were more OC  
10 than LOCF analyses significant for each treatment. These are  
11 the LOCF and these are the OC. As you can see, there is more  
12 down here for each treatment except the low-dose Sertraline  
13 group, which was the only treatment without numerous early  
14 dropouts.

15 Dropouts, particularly early dropouts, that occur  
16 differentially across treatment groups can affect LOCF  
17 analyses. So we see that high-dose Sertraline, with the  
18 largest number of early dropouts, had the smallest number of  
19 significant LOCF analyses. Among the individual treatments,  
20 the highest number of significant comparisons for both the  
21 LOCF and the OC occurred with the Sertraline combined group,  
22 the group down here.

23 The HAM-D total score had the smallest number of  
24 significant LOCF and OC comparisons. This is the one  
25 variable which includes a range of symptoms in this score.

1 When one examines the items on the HAM-D Scale, it is im-  
2 mediately apparent that a number of items reflect frequent  
3 side effects with Sertraline, for example, gastrointestinal  
4 symptoms, insomnia, agitation and anxiety. When these items  
5 are dropped from the analysis, the comparisons tend to be  
6 more significant.

7 One could also argue that the CGI items, by their  
8 global nature, have a range of symptoms, whereas, the 2  
9 variables which focus narrowly on depressive mood are  
10 significant.

11 In conclusion, this study had problems using a  
12 fixed-dose design with immediate assignment to the maximum  
13 dose and probably would have fared better with titration to  
14 the maximum dose in each group. Patients were unable to  
15 adjust to 200 mg and to some extent 100 mg and large numbers  
16 dropped out early in the trial. This particularly affected  
17 the LOCF analyses where the high early scores are carried  
18 forward and bias the outcomes against the groups with early  
19 dropouts.

20 Protocol 104 is different from protocol 103 in a  
21 number of important ways. I will note these differences as  
22 we go along.

23 (Slide)

24 Study design -- one very important difference is  
flexible dosage. Notice also that in addition to placebo, we

1 now have a positive control. The duration of active treatment  
2 was 6 weeks instead of 6. Doses were titrated during the  
3 first 3 weeks and the remaining 5 weeks were considered the  
4 maintenance phase.

5 (Slide)

6 The entry criteria are similar, except for the HAM-  
7 D total score where failure to reach a minimum score of 18 on  
8 the first items or a decrease in total score of 25 percent or  
9 more during the baseline period was reason for exclusion.

10 (Slide)

11 Efficacy assessments are the same, except for the  
12 substitution of the symptom check list for the POMS.

13 (Slide)

14 Patient population -- the mean age is the same, 38-  
15 40 years and the range was 18-64. Sex ratio -- again, there  
16 is a preponderance of females, 54 percent in this study  
17 versus 53 percent in 103. The total population is somewhat  
18 larger, 448 subjects entered and there are 427 in the intent-  
19 to-treat population.

20 Completers -- another big difference. In this  
21 trial the number was much higher, 60 percent against 52  
22 percent. In addition, the proportion of completers was  
23 similar among treatments.

24 (Slide)

Dropouts -- 40 percent versus 48 percent in the

1 fixed-dose trial. The reasons for dropouts are primarily  
2 adverse effects for active treatment and lack of effect for  
3 placebo.

4 (Slide)

5 The maintenance dosage -- 104 for amitriptyline.  
6 Notice that the average for Sertraline, 145 mg, was not  
7 permitted by the protocol. The doses were 50, 100 and 200  
8 for Sertraline.

9 (Slide)

10 These are the efficacy results for protocol 104 in  
11 a summarized form. The population used was the intent-to-  
12 treat group. The results are given for 2 time points, week  
13 5, the time when 70 percent of each group were still present,  
14 and week 8, the final week of the trial, and again for the  
15 LOCF and OC analyses. The change from baseline is shown for  
16 each of the 5 variables. An asterisk indicates a significant  
17 comparison with placebo. All comparisons for Sertraline and  
18 for amitriptyline were significant for the first 4 variables.  
19 With the SCL depression factor, the 3 amitriptyline com-  
20 parisons were significant and 2 of the Sertraline, although  
21 at a less significant level. These results are supported by  
22 the analyses done for other time points which generally  
23 indicate a significant superiority over placebo from week 3  
24 onward for both Sertraline and amitriptyline.

(Slide)

1 The results for the HAM-D total score are also  
2 shown graphically. In the LOCF analyses the scores drop from  
3 approximately 23 at baseline to 15 at the end of the trial  
4 for placebo, the least improvement, to 12 for Sertraline and  
5 to 11 for amitriptyline, the most improvement.

6 (Slide)

7 The same is true for the OC analyses. The final  
8 placebo score is 12; Sertraline, 8; amitriptyline 7.

9 In conclusion, this study provided consistent  
10 evidence that Sertraline produced more improvement than  
11 placebo and this was the case for all variables.

12 I would also like briefly to mention a 1-year  
13 trial, protocol 320. This trial treated 467 depressed  
14 patients with open Sertraline for 8 weeks. Those patients  
15 who had a satisfactory response were then randomized to  
16 double-blind treatment of Sertraline or placebo for 44 weeks.  
17 So it was an open trial for 8 weeks and double-blind for 44  
18 weeks.

19 The idea of including maintenance studies in an NDA  
20 for depression is one which we, at the FDA, would like to  
21 foster because such studies more closely approximate the way  
22 antidepressants are used.

23 Protocol 320, however, had major problems. In the  
24 protocol the critical variables were either not defined at  
all or the definition was insufficiently detailed to be

1 useful. For example, in the first critical decision, namely,  
2 to decide whether or not the patient had shown a satisfactory  
3 response to open Sertraline, an objective definition of  
4 satisfactory response was not provided.

5 Another critical variable that was not defined was  
6 what constituted a relapse in the double-blind phase.  
7 Relapse is a major outcome variable in maintenance studies.

8 Because the protocol did not describe these major  
9 decisions adequately, they became post hoc decisions with the  
10 corresponding problem of data-conditioned analyses. There  
11 were other problems, including a very large number of  
12 investigators (39) and various protocol violations. Also  
13 over 60 percent of the patients in both groups received  
14 concomitant psychotropic medications.

15 Dropouts because of inadequate therapeutic response  
16 were much higher in the placebo group. I am just going to  
17 talk about one finding that interested me because the  
18 investigators checked on the case report form why they were  
19 dropping the patient. So I looked at the inadequate thera-  
20 peutic response dropouts. Dropouts for this reason were much  
21 higher in the placebo group (42 percent) than in the Sertra-  
22 line group (9 percent). Of all placebo dropouts for lack of  
23 treatment effect, 48 percent occurred in the first 8 weeks of  
24 the double-blind phase. Only 29 percent of Sertraline  
25 dropouts for lack of treatment effect occurred in this same

1 period.

2 Because of the serious protocol deficiencies,  
3 results of this study cannot be considered definitive. The  
4 dropout data do suggest, however, that once Sertraline has  
5 been started, it may be advisable to continue it beyond 8  
6 weeks.

7 My conclusion about the efficacy section of the NDA  
8 is that the sponsor has provided two studies, one of which is  
9 more consistent than the other, to demonstrate the effective-  
10 ness of Sertraline.

11 DR. CASEY: Thank you, Dr. Lee. In addition to our  
12 presentations scheduled today, next will be Dr. Nevius, from  
13 the Agency.

14 DR. LEBER: For the record, Dr. Nevius is a Group  
15 Leader in the Division of Biometrics.

16 PRESENTATION BY ED NEVIUS, Ph.D.

17 DR. NEVIUS: Thank you. I would just like to  
18 highlight a few of the elements of the statistical review of  
19 an NDA that are pertinent to the Sertraline NDA that we are  
20 discussing now.

21 (Transparency)

22 The points I will briefly touch on are shown on the  
23 overhead. First, is the design of the studies appropriate  
24 for the objectives?

With regard to this first point, Dr. Lee has

1 already pointed out problems with regard to the design of two  
2 of the studies, namely, the absence of titration in study 103  
3 which caused a large number of early dropouts, particularly  
4 in the 200 mg Sertraline group, and the design problems in  
5 study 320 with regard to the inadequate protocol definition  
6 of endpoints.

7 (Transparency)

8 With regard to the data set analyzed, we always  
9 like to see an analysis utilizing all patients randomized to  
10 the study in addition to other various evaluable patient type  
11 analyses which may be performed by the sponsor. We call this  
12 the intent-to-treat population of patients and usually define  
13 it to be all patients randomized to the study who actually  
14 received at least one dose of the assigned treatment.  
15 Analyses of this population will avoid possible biases caused  
16 by patient deletions made after randomization for various  
17 reasons.

18 (Transparency)

19 With regard to the statistical analyses of the  
20 data, we check whether we agree with the specific analyses  
21 and methods for performing those analyses, as well as whether  
22 other equally plausible analyses produce reasonably similar  
23 results.

24 For example, in study 103 which, you remember,  
involved three dose levels and placebo, the sponsor relied

1 upon an analysis which combined data from these three  
2 separately randomized groups and treated them as one group.  
3 Dr. Choudhury, the statistical reviewer for this application,  
4 performed a test for dose response to see if there was  
5 evidence of increasing efficacy with increasing dose. Dr.  
6 Choudhury will show the results of a non-parametric test for  
7 this purpose, called the Jonckheere-Terpstra test for ordered  
8 alternatives.

9 (Transparency)

10 In some drug classes where the primary endpoint is  
11 survival it may make sense to talk about the intent-to-treat  
12 analysis. In that case, patients who do not remain in the  
13 study until the end may be treated by the statistical  
14 analysis as censored observations and their time in study  
15 will still be taken into consideration in the analysis.

16 In trials of depression this situation is more  
17 complicated. If the patient is not rated in the study, then  
18 there is no optimal way to include the patient in the  
19 analysis. The LOCF (last observation carried forward)  
20 analysis, often called endpoint analysis when restricted to  
21 the last time point in the study, has been widely used in  
22 psychopharmacological drug trials but has inherent biases  
23 when there are differential dropout rates. Patients generally  
24 get better over time, that is, their HAM-D scores go down.

As pointed out by Dr. Lee, the LOCF analysis, for

1 example in study 103, will then carry forward a larger  
2 proportion of early high HAM-D scores for the high-dose group  
3 than the placebo group, making it difficult to show efficacy  
4 for the high-dose group by this analysis.

5         Incidentally, this problem also arises in attempts  
6 to show evidence of dose response. You will see in a minute  
7 that Dr. Choudhury has also analyzed study 103 for dose  
8 response with this high dose group with large early dropouts  
9 (200 mg group) omitted. On the other hand, the observed  
10 cases analysis completely ignores the effect of a large  
11 proportion of randomized patients being left out of the  
12 analysis. So we look for similar results for these 2 types  
13 of analyses and look for reasons for any discrepancies.  
14 Clearly, methodological research is really needed in this  
15 area in the future.

16         (Transparency)

17         Finally the last two points, we look at how  
18 similarly various standards behave in a multicenter trial, as  
19 well as how results of the various studies in the NDA  
20 compare. Dr. Choudhury will present graphs showing results  
21 by center in studies 103 and 104, as well as descriptive  
22 statistics comparing the various studies in the NDA.

23         We should be aware that multicenter studies were  
24 designed to be able to show significant results from the  
primary combined analysis and nominally significant results

1 for a center analyzed alone are not usually expected. But,  
2 on the other hand, nominally significant results for a few  
3 out of many centers in one multicenter trial should not  
4 routinely be taken as independent corroboration of efficacy.

5 In conclusion, I would like to mention that the p  
6 values we calculate are only approximations as various  
7 assumptions for statistical analyses never exactly hold and  
8 there is rarely one correct p value for making conclusions  
9 about a particular study. While dropouts are problematic in  
10 the efficacy studies for this NDA, I believe it is clear that  
11 study 104 produces significant results no matter how the data  
12 are analyzed. Study 103 has similarly robust results for 2  
13 variables, including the HAM-D depressed mood item.

14 Dr. Choudhury will now present some statistical  
15 information about the two studies. A few of the tables will  
16 contain more information than you can absorb by a brief look  
17 at the slides but we have provided copies of his tables and  
18 his graphs in your handouts for later reference. You may  
19 notice one slight discrepancy between the p values that we  
20 have been quoting and that Dr. Choudhury will quote and the  
21 ones that I believe the sponsor will quote. The FDA presen-  
22 tation gives 2-sided p values for Sertraline versus placebo  
23 comparisons, while the sponsor's slides I believe will give  
24 1-sided p values for these comparisons. Dr. Choudhury will  
show some of his graphs and tables for the primary results

1 now.

2 DR. CASEY: Thank you, Dr. Nevius. Next will be  
3 the presentation by Dr. Choudhury, who will give us a  
4 statistical review.

5 PRESENTATION BY JAPOBRATA CHOUDHURY, Ph.D.

6 DR. CHOUDHURY: Good morning. The various graphs  
7 and tables I shall be presenting are contained in the handout  
8 supplied to the Committee. First I will go over study 103.  
9 I would like to show you graphically how the dropouts  
10 occurred over time in study 103.

11 (Slide)

12 This graph shows the retention rates by treatment  
13 groups over time. Similar graphs, giving the percentages in  
14 parentheses, are in the handouts. The light green is for the  
15 200 mg dose. The early losses in the 200 mg group are  
16 evident with only 43 percent of the patients in this group  
17 completing the study. The high dropout rates and differential  
18 dropout rates among the treatment groups lead us to look at  
19 both OC and LOCF results for various analyses.

20 (Slide)

21 First we will look at an analysis to investigate  
22 whether a dose-response relationship exists among the  
23 treatment groups. The Jonckheere-Terpstra test is a non-  
24 parametric test for this purpose. All these p values are  
highly significant and the HAM-D item 1 clearly shows

1 evidence of positive dose response, while the HAM-D and CGI  
2 improvement show at least marginal evidence of dose response  
3 at week 6 when the 200 mg dose is excluded.

4 (Slide)

5 This slide and the next one gives results for the  
6 pair-wise comparisons between each dose group and placebo.  
7 These results are for the HAM-D totals which were rather  
8 inconsistent across different analyses. The orange color is  
9 for LOCF and the green color is for OC.

10 (Slide)

11 HAM-D items, on the other hand, show quite robust  
12 results favoring Sertraline.

13 (Slide)

14 This slide and the next one gives results by  
15 center. This one is for HAM-D total. Results for larger  
16 centers (the first 5) show consistent numerical superiority  
17 for both 50 mg and 100 mg doses over placebo, although the  
18 relationship between 50 and 100 mg is not clear-cut.

19 (Slide)

20 The same is true for HAM-D item 1.

21 (Slide)

22 Now I will go over study 104. This slide of  
23 retention rates shows more closely comparable retention rates  
24 for the 3 treatment groups than was the case for study 103.

25 Approximately 60 percent of the patients completed the trial.

1 Although the dropout rates are more similar among treatment  
2 groups than in study 103, we still want to look at both OC  
3 and LOCF results due to the rather high overall dropout rate.

4 (Slide)

5 This slide and the next give results for the pair-  
6 wise comparisons between each active treatment group and  
7 placebo. These are the results for the HAM-D total. All the  
8 values are highly significant, although results for amitrip-  
9 tyline are more significant. The ordering of placebo showing  
10 less improvement than Sertraline and Sertraline showing less  
11 improvement than amitriptyline is clear.

12 (Slide)

13 The same comment applies to HAM-D item 1. It can  
14 be noticed that in study 104 the p values for HAM-D totals  
15 are more significant than those for HAM-D item 1. The  
16 reverse is true for study 103.

17 (Slide)

18 This slide shows 95 percent confidence intervals  
19 for the Sertraline-placebo comparison by center for HAM-D  
20 total at last visit. All centers show numerical superiority  
21 for Sertraline with centers 2 and 14, suggesting evidence of  
22 efficacy individually, as indicated by the confidence  
23 intervals excluding, or nearly excluding zero.

24 I am not going to talk about study 320 due to time

1 your reading.

2 (Slide)

3 Finally, this is comparison of the studies.  
4 Detailed comparisons of all studies is not possible here.  
5 Let us compare the studies with respect to HAM-D total first  
6 at week 4. Study 320 is of a different kind to investigate  
7 the efficacy of Sertraline in the prevention of relapse and  
8 is not considered here. Studies 104 and 315 did not have  
9 separate dose groups for Sertraline. From other studies we  
10 picked up only the 100 mg group for a clear comparison  
11 graphically. These latter studies did not have an amitrip-  
12 tyline group. Except for study 310, treatment differences  
13 appear reasonably consistent over the studies. Study 103 has  
14 the highest treatment difference even though the p values are  
15 not quite significant, probably because of a smaller number  
16 of patients per treatment group compared with study 104. If  
17 all the dose groups of Sertraline are combined, study 103  
18 results become highly significant.

19 (Slide)

20 Comparison at week 6 -- only 3/5 studies considered  
21 in the last slide had durations of 6 weeks or more. We still  
22 see 5 columns because we included LOCF results for studies  
23 103 and 104. At week 6, the treatment difference for study  
24 104 was no bigger than for other studies but, because of  
larger numbers of patients per treatment group, study 104

1 produced highly significant p values. Results for amitrip-  
2 tyline are consistently numerically superior to those for  
3 Sertraline. Negative values indicate improvements.

4 (Slide)

5 Conclusions -- study 104 provides highly significant  
6 p values by almost all analyses. Study 103 provides signifi-  
7 cant p values with respect to HAM-D impression item and POMS  
8 depression-factor. Despite the fact that the protocol for  
9 study 320 is not highly satisfactory, study 320 provides some  
10 sort of supporting evidence for the short-term efficacy of  
11 Sertraline in prevention of relapse of depression.

12 Descriptive statistics show numerical superiority  
13 of Sertraline consistently over all studies at most time  
14 points.

15 DR. CASEY: Thank you, Dr. Choudhury. We will next  
16 move on to a presentation by Dr. Knudson on the safety data.

17 PRESENTATION BY JAMES F. KNUDSON, M.D., Ph.D.

18 DR. KNUDSON: One of the jobs of the clinical  
19 review is to ensure that new drugs are reasonably safe and  
20 effective before they are marketed. Dr. Lee has discussed  
21 the latter aspects and I will discuss the safety issues.  
22 Together these presentations, coupled with the input from  
23 other sources today, should enable a risk-benefit assessment  
24 of Sertraline.

(Slide)

1           There are 4908 subjects in the Sertraline data  
2 base. The majority of the active control drug was amitrip-  
3 tyline. Of the Sertraline-treated population, 1902 are the  
4 depression studies; about a third of the number on obesity  
5 and, as you can see, only a handful on panic. A total of 861  
6 Sertraline-treated patients, 372 from the depression and  
7 another 489 in obesity, participated on placebo-controlled  
8 dose titration studies.

9           The safety data presented today will utilize this  
10 pool of patients since it is our view that all patients  
11 exposed to a drug, whatever the reason for treatment, can  
12 provide relevant safety data.

13           (Slide)

14           Of the Sertraline-treated patients, 3/5 (58  
15 percent) were female; 38 percent were non-white and 1/6 was  
16 65 years of age or older. The figures for placebo and active  
17 are comparable.

18           (Slide)

19           Twenty-two percent of patients received drug for  
20 more than 90 days and 3 percent for longer than a year.

21           (Slide)

22           On the question of discontinuation in placebo-  
23 controlled studies, 3 groups, as you can see from this slide,  
24 were comparable, with about 2/5 subjects leaving prior to  
completion of the study, however, as expected, mostly for

1 adverse events on the active and for lack of efficacy on the  
2 placebo.

3 (Slide)

4 In our safety assessments here we look at major  
5 events, such as deaths and dropouts across all studies, first  
6 to provide an overall, albeit rather coarse, picture of the  
7 drug. Seven deaths occurred, 4 on Sertraline, 1 on active  
8 and 2 on placebo. In each case, the treating physician  
9 stated that the study drug was not responsible for the death.

10 Having reviewed the supporting documents, it is my  
11 view that none of the deaths can be reasonably attributed to  
12 Sertraline use.

13 (Slide)

14 Realizing the difficulty in interpreting data where  
15 analyses ignore differential exposure time, this table does  
16 show that disproportionate numbers of suicide attempts do not  
17 occur among the 3 treatment groups. All suicide attempts  
18 appeared in depressed patients, none in the obese. As noted  
19 in the last slide, for 2/9 Sertraline-treated patients who  
20 committed suicide, the suicides were complete.

21 Suicidal thinking was measured by the Hamilton  
22 Psychiatric Rating Scale for Depression, HAM-D 3. Emergence  
23 of serious suicidal thinking is defined as a change from a  
24 HAM-D score of 0 or absent or 1 (self-reproach) to a score of  
3 where ideas or gestures of suicide occur, to 4 where

1 attempts are actually made. Very few patients got this much  
2 worse on treatment. For the final time point or OC analysis,  
3 the incidence of this event was very low in all treatment  
4 groups, no more than 1 patient in any treatment group had  
5 this event.

6 For the last patient visit, or LOCF analysis, the  
7 incidence rates were uniformly greater than the final time  
8 point analysis. The incidence rate in the placebo group, as  
9 you can see in protocol 104, of 6 percent certainly was higher  
10 than the incidence rates for the other 2 treatment groups  
11 which were of comparable magnitude.

12 In the Sertraline development program there were 3  
13 non-fatal cases of active overdose, either with Sertraline  
14 alone or in combination with other drugs. Doses of up to 210  
15 mg were reported. The patients recovered and no specific  
16 therapy was required.

17 (Slide)

18 This slide provides the side effect profile of  
19 Sertraline in the placebo-controlled titration studies.  
20 Events listed were reported by at least 1 percent of the  
21 Sertraline-treated patients and were statistically significant  
22 compared with the placebo control.

23 Treatment-emergent side effects and dropouts  
24 occurred most frequently in 4 body systems, namely, the  
25 gastrointestinal, the psychiatric, the central nervous system

1 and peripheral nervous system and the autonomic nervous  
2 system.

3 As would be expected with a serotonin reuptake  
4 blocker, the most commonly reported adverse experiences and  
5 discontinuations were GI, 54 percent for the Sertraline; 26  
6 percent for the placebo and 26 percent for the active.

7 With respect to sexual dysfunction among Sertraline-  
8 treated patients, this occurred primarily in males and at  
9 statistically significantly greater rate compared with the  
10 placebo and active. The preponderance of complaints was  
11 related to transient ejaculatory disturbances. Complaints of  
12 impotence and loss of libido occurred less frequently. Few  
13 patients discontinued for this event.

14 Patients exposed to Sertraline also reported  
15 insomnia more frequently as a side effect compared to the  
16 placebo and active control-treated patients. Again, few  
17 patients discontinued.

18 Somnolence, which incorporates the terms sedation  
19 and drowsiness, was reported more frequently in the Sertra-  
20 line-treated patients versus the placebo-treated and less  
21 than the active control group. Sedation is, of course, one  
22 of the most common side effects of TCAs.

23 Dizziness, twitching and tremor were the 3 most  
24 common CNS/PNS adverse events in Sertraline-treated patients.

25 One percent of Sertraline- and placebo-treated patients,

1 compared with 4 percent of the active control drug group  
2 discontinued due to dizziness. No patient discontinued due  
3 to tremor. Twitching was mild to moderate in intensity and  
4 was reported by all treatment groups. No patient discon-  
5 tinued.

6 As you can see, CNS/PNS side effects occurred  
7 overall more frequently in the active control group and 69  
8 percent of the active control group reported dry mouth  
9 compared to 16 percent of Sertraline and 9 percent of the  
10 placebo. One percent of the Sertraline patients discontinued  
11 due to dry mouth compared to less than 1 percent of placebo  
12 and 5 percent active controls. The symptom of increased  
13 sweating, for the most part, was mild and no patient discon-  
14 tinued.

15 (Slide)

16 This table displays a list of clinical events which  
17 occurred significantly more often in active than with  
18 placebo. Increased appetite and weight gain, side effects  
19 associated with TCA use, appear less frequently in the  
20 Sertraline-treated patients. Indeed, an interesting conse-  
21 quence of Sertraline use has been a clinically significant  
22 weight loss, a decrease of greater than or equal to 7 percent  
23 below baseline, in some Sertraline-treated patients compared  
24 with both placebo and active.

(Slide)









1 There are 2 other clinically important events  
2 observed during development, namely skin rash and purpura.  
3 This table displays the incidence of skin rash in placebo-  
4 controlled titration studies. There are no differences.  
5 Rash and possible allergic events have been reported with  
6 other Sertraline reuptake inhibitors. Six Sertraline, 1  
7 placebo and 1 active discontinued due to skin rash.

8 (Slide)

9 Synopses of the individual cases in the depression  
10 studies where a rash was reported reveal the following: All  
11 but 1 were female; age was equally distributed amongst the  
12 young and old; 4/6 had a positive history for allergies.  
13 Rash, for the most part, was reported to be rather moderate  
14 or moderate to severe in intensity. Adjunctive therapy was  
15 used in all but 1 case. In 5/6 cases there were no associated  
16 signs or symptoms -- this is important -- related to fever,  
17 respiratory symptoms, lymphadenopathy, arthralgias and  
18 abnormal laboratories.

19 In the last case there was a report of facial edema  
20 and joint swelling, accompanied by leukocytosis and eosino-  
21 philia. The patient was hospitalized, treated with dexametha-  
22 sone, triphinedine (phonetic) and betamethasone ointment and  
23 recovered. The final diagnosis was photosensitivity to  
24 Sertraline.

An additional patient in the obesity study discon-

1 tinued due to severe erythematous maculopapular rash, which  
2 was characterized by the consultant dermatologist as erythema  
3 multiforme. This patient had also received trimethoprim  
4 sulfamethoxazole 5 days prior to the rash. Consequently, the  
5 relationship of the event to the Sertraline exposure remains  
6 unknown.

7 (Slide)

8 The incidence of purpura in placebo-controlled  
9 titration studies is displayed here. You see that whereas  
10 both Sertraline- and placebo-treated patients reported  
11 purpura, there were no reports in the active control group.  
12 One patient exposed to Sertraline discontinued the study due  
13 to a recurrence of what was considered to be DTP.

14 (Slide)

15 This slide lists the patients in the placebo-  
16 controlled titration studies who had reports of purpura. The  
17 patient I just alluded to, patient 0045, as you can see, was  
18 the only patient who had a platelet count lower than 150,000.  
19 However, the patient had a low baseline count of about  
20 130,000 which was unchanged during the trial.

21 So in review of the individual cases, purpura  
22 appeared to be an isolated event. There was no evidence of  
23 thrombocytopenia and, once again, purpura has been reported  
24 with other serotonin reuptake inhibitors.

In light of the fact that activation of hypermania







1 has been reported in patients treated with antidepressants,  
2 the occurrence of this event was assessed. In placebo-  
3 controlled depression studies, hypermania or mania occurred  
4 infrequently and there were no differences between the  
5 incidence rates among the three treatment groups. One  
6 Sertraline-treated patient in these studies discontinued.

7 Overall, in the Sertraline development program,  
8 10/2,710 patients (about 0.4 percent) either had a dose  
9 reduction or discontinued as a result of mania.

10 Except for liver function tests, the incidence of  
11 clinically significant laboratory abnormalities in the  
12 Sertraline development program was low.

13 (Slide)

14 This slide displays the incidence of elevated LFTs  
15 in all placebo-controlled studies. The criterion is 3 times  
16 the upper limit of normal. There was no statistically  
17 significant difference between the 3 groups.

18 In all cases examined, all patients were asymptom-  
19 atic and there were no reports of cholestasis among the  
20 patients. Values were found to return to baseline after  
21 treatment was discontinued in all cases. There were no  
22 statistically significant differences between any of the  
23 treatment groups with respect to the number of patients who  
24 discontinued due to elevated LFTs.

In addition to the few reports of elevated LFTs,

01146

Motus/Pfizer

1 statistically significant reductions from baseline serum uric  
2 acid levels occurred in the Sertraline-treated patients  
3 compared with placebo and active control. The mean change  
4 from baseline was approximately 7 percent. The clinical  
5 significance remains somewhat unclear, although hyperuricemia  
6 has been reported in patients treated with triazolam benzo-  
7 diazepines and with thioxanthene. Additionally, and impor-  
8 tantly, hyperuricemia is often associated with hyponatremia  
9 related to SIDH.

10 Other safety data examined were vital signs and  
11 ECGs. With respect to the former, Sertraline use was not  
12 associated with any clinically meaningful changes in vital  
13 signs. Overall in the clinical development program, the  
14 incidence of patients with any ECG marked as more abnormal  
15 was similar in the Sertraline and placebo groups.

16 (Slide)

17 The last table displays a line listing of individual  
18 cases of patients who discontinued due to ECG abnormalities.  
19 The rate of dropout was not different across the drug groups  
20 in all the multiple dose studies.

21 Several studies have been implemented by the  
22 sponsor to assess the potential for drug interaction with  
23 Sertraline. Two finalized reports have discussed the  
24 possible interaction between Sertraline and tolbutamide and  
25 Sertraline and lithium. A statistically significant decrease

1 of 16 percent in tolbutamide clearance was reported by the  
2 sponsor and seen in patients treated with Sertraline compared  
3 with placebo. No statistically significant differences  
4 between Sertraline and placebo were seen in the renal  
5 clearance of lithium.

6 Lastly, as you recall from the earlier slides, a  
7 fair number of Sertraline-treated patients in the development  
8 program were 65 years of age or older. A comparison of the  
9 incidence of side effects in elderly with younger patients in  
10 the depression studies revealed that the proportion of  
11 Sertraline patients experiencing side effects was similar in  
12 the 2 age groups, as was the frequency of occurrence of  
13 specific side effects. Additionally, the incidence of  
14 laboratory abnormalities was generally similar.

15 Overall, based on the safety data, it is my view  
16 that the sponsor provided evidence that Sertraline is safe  
17 when used in the treatment of depression.

18 DR. CASEY: Thank you, Dr. Knudson. Do any of the  
19 Committee members have questions that they would like to  
20 address to the presenters for clarification or amplification?

21 (No response)

22 I guess not. That being the case, we have the  
23 opportunity to stretch our legs and have a short coffee  
24 break. We will come back in 15 minutes, at 10:35. Thank

25 you.

sgg

1 (Brief recess)

2 DR. CASEY: I hope everyone feels fresh and ready  
3 to go on to part two. The first thing I would like to do is  
4 to thank and compliment the Agency's presenters on their  
5 clear and focused presentations. They were very well done,  
6 very easy to follow.

7 The next section will be to move to the sponsor's  
8 presentation by Pfizer Pharmaceuticals. That will be  
9 presented by Dr. Steven Ryder.

10 PRESENTATION BY STEVEN RYDER. M.D.

11 DR. RYDER: Good morning. Thank you, Dr. Casey,  
12 Dr. Leber, Dr. Laughren. I would first like to express our  
13 appreciation of and agreement with the comprehensive reviews  
14 of Drs. Lee, Choudhury and Knudson. During the past few  
15 months, the entire FDA review team, including Dr. Laughren,  
16 has been very helpful in assisting our preparation for this  
17 meeting.

18 I will try to minimize repeating areas that have  
19 been well covered by the prior speakers. I am going to not  
20 cover some areas and quickly pass through some others. If  
21 you would like me to cover any of these areas in greater  
22 detail, please just let me know.

23 (Slide)

24 The outline of my presentation is that I will cover

25 just one or two items regarding efficacy, clinical pharma-01149

Motus/Pfizer

1 ecology and, finally, safety. I think that the review  
2 presented for protocols 103 and 104 has been comprehensive  
3 and I am not going to make any comments on the review.

4 (Slide)

5 I would like to mention some things about protocol  
6 320. This study, as you have heard, was a large, multicenter  
7 study where patients with depression were treated for 8 weeks  
8 with open-label Sertraline, using an upward dose titration  
9 regimen from 50-200 mg/day. After 8 weeks, those patients  
10 judged to have a satisfactory clinical response on the basis  
11 of investigator opinion were offered double-blind random-  
12 ization. Those patients entering into this double-blind  
13 period were randomized for 44 weeks of double-blind treatment  
14 with either Sertraline or placebo. The dosage was started  
15 low and the protocol called for increases up to 4 capsules  
16 per day, corresponding to 200 mg/day of Sertraline, for  
17 worsening depression. If this dose was ineffective, the  
18 patient was to be discontinued. An entrance criterion prior  
19 to entering the initial 8-week open-label period was that the  
20 patient had a minimum HAM-D total score of 17.

21 (Slide)

22 There were 467 patients who entered the initial  
23 open-label treatment period and 350 patients completed. Of  
24 these 350, 295 accepted randomization into the double-blind  
treatment period. The randomization scheme called for a 2:1

ratio. There were, therefore, 185 Sertraline patients and 110 placebo patients.

I think it is important to note that at this point of randomization the groups seemed balanced in terms of demographics, disease characteristics and disease severity. Backup slides are available if you would like to see this.

As was mentioned, there was a difference in the percentage of patients who completed the double-blind treatment period, with 58 percent of the Sertraline and 33 percent of the placebo patients completing this period. This difference was principally due to a difference in the percentage of patients discontinued due to lack of efficacy, with 41 percent of the placebo patients and 8 percent of the Sertraline patients discontinuing for that reason.

(Slide)

As mentioned, efficacy analysis for this study was different from the other trials. A principal efficacy variable was the relapse analysis. This slide presents the results of the intention-to-treat, last observation carried forward, for the relapse analysis. The definition of relapse is on the last two lines of the slide. Relapse was defined as becoming and remaining ill where illness was defined as having a CGI severity score of greater than three. As you know, this is a seven-point scale. So that would be the higher end of the scale. There was a difference in the

3 (Slide)

13 (Slide)

20           Once more, the curves for the Sertraline and  
21 placebo groups are shown with the limits of the 95 percent  
22 confidence intervals. Once more, there is a significant  
23 difference between the groups.

24 (Slide)

This slide presents the efficacy conclusions and

1 they are in agreement with those that you saw previously.  
2 That is, based on the results of protocols 103 and 104,  
3 Sertraline, within a dose range of 50-200 mg/day, is more  
4 effective than placebo in the treatment of major depression.

5 Second, based on the results of protocol 104,  
6 Sertraline causes improvement similar to amitriptyline.

7 Third, based on the results of protocol 320, the  
8 results of which were just discussed, following successful  
9 treatment with Sertraline, continued Sertraline therapy  
10 reduces the incidence of depressive relapse compared with  
11 placebo.

12 I will now turn to clinical pharmacology. The next  
13 two slides list the clinical pharmacology studies and drug  
14 interaction studies in the Sertraline development program.

15 (Slide)

16 This is a list of the clinical pharmacology  
17 studies. Several studies, such as the kinetics in renal  
18 insufficiency, were recently completed and the data are being  
19 analyzed. Others, such as the kinetics in hepatic insuf-  
20 ficiency, are ongoing. I will not discuss these studies.

21 (Slide)

22 This slide lists the drug interaction studies. I  
23 would like to mention that an attempt was made to examine  
24 drugs that would be representative of classes commonly co-  
prescribed with Sertraline, as well s classes with different

1 metabolic pathways. Once more, some studies have data being  
2 analyzed and will not be discussed.

3 (Slide)

4 Following oral administration of Sertraline, peak  
5 plasma concentrations ( $C_{max}$ ) was typically reached 7-8 hours  
6 following oral ingestion ( $T_{max}$ ). Linear pharmacokinetics  
7 were demonstrated in a dosage range of 50-200 mg. The  
8 terminal elimination phase was achieved 12-16 hours following  
9 oral ingestion. The average terminal elimination half-life  
10 from plasma was approximately 24-26 hours.

11 I should mention here that the Sertraline plasma  
12 concentration achieved after administration of Sertraline for  
13 14 days once daily was consistent with the 24-26-hour half-  
14 life that you see here and was dose proportional.

15 I should also mention that when Sertraline was  
16 administered with food, there was a 32 percent increase in  
17  $C_{max}$  and a 39 percent increase in AUC. Accordingly, current  
18 recommendations are that Sertraline be administered with  
19 food.

20 (Slide)

21 Sertraline is extensively metabolized and an  
22 important initial pathway of metabolism is to N-desmethyl-  
23 sertraline. N-desmethylertraline, as Dr. Lee mentioned, has  
24 a plasma terminal elimination half-life from 62-104 hours.

1 show that N-desmethylertraline is substantially less active  
2 than sertraline. Specifically, N-desmethylertraline was  
3 approximately 1/10 as potent as the parent compound in in  
4 vitro activity of serotonin reuptake inhibition. N-desmethyl-  
5 sertraline was inactive in the mouse Porsolt model of  
6 depression and displayed innocuous behavioral effects at doses  
7 up to 1 gm/kg in mice and rats.

8 (Slide)

9 I mentioned that a number of Sertraline drug  
10 interaction studies have been done. The general design for  
11 these studies was that the co-administered drug was given  
12 before and after three weeks of either Sertraline or placebo  
13 administration. Three weeks was chosen as being more than  
14 adequate in terms of allowing Sertraline or desmethyl-  
15 sertraline to achieve steady state.

16 With warfarin there was an 8.9 percent increase in  
17 prothrombin time after Sertraline compared with placebo.  
18 Although this change seems small, prudent medical practice  
19 would likely suggest that prothrombin time be monitored when  
20 Sertraline is started or stopped.

21 Compared to placebo, Sertraline caused a 6.5  
22 percent increase in steady-state serum lithium levels and a  
23 1.9 percent decrease in lithium clearance. Neither of these  
24 changes was statistically significantly different from  
25 placebo.

1 I should mention that there is little clinical  
2 experience with the co-administration of Sertraline and  
3 Lithium. As Dr. Lee mentioned, a few patients entered our  
4 study with the diagnosis of bipolar disorder. There was a 15  
5 percent decrease in tolbutamide clearance and a 13 percent  
6 decrease in diazepam clearance. Both of these decreases are  
7 considered to be of minimal, if any, clinical importance.

8 Sertraline has no effect on beta blocker pharmaco-  
9 dynamics. The specific beta blocker used in this trial was  
10 atenolol. That is the CD<sub>25</sub> of isoproterenol, the dose that  
11 increases heart rate. The chronotropic dose of 25 beats/  
12 minute was unchanged compared with placebo. Finally, the  
13 pharmacokinetics of digoxin were unchanged by Sertraline.

14 (Slide)

15 This slide presents the approaches that were taken  
16 to examine the Sertraline data base concerning safety issues.  
17 I would like to say that we are in complete agreement with  
18 Dr. Knudson's review. I think it was extremely comprehensive.  
19 I would only like to slide to the very last item, ECGs, and I  
20 would like to note that a special effort was made, and a  
21 further and more detailed review of all ECG tracings from  
22 U.S. and Canadian depression trials was done in a blinded  
23 manner by Dr. Charles Fisch and Dr. Suzanne Knoebel, of  
24 Indianapolis. Dr. Fisch is here today and he is prepared to

25 answer any questions regarding this analysis.

01156  
Motus/Pfizer

1 The analysis included change from baseline to final  
2 visit in heart rate and the ECG intervals listed, as well as  
3 a review of the incidence of conversions from baseline to  
4 final visit for overall ECG interpretation, rhythm, axis and  
5 the other listed parameters.

6 (Slide)

7 There were no significant changes in the ECG  
8 intervals of Sertraline and placebo patients. As expected,  
9 amitriptyline resulted in an increase in heart rate and a  
10 consequent reduction in the ECG RR and QT interval.

11 (Slide)

12 This shows the number of conversions from normal at  
13 baseline to abnormal at final tracing in specific categories  
14 of ECG changes. There were no differences between Sertraline  
15 and placebo. The statistically significant difference in the  
16 amitriptyline group was because -- and Dr. Fisch can explain  
17 this further if I am not clear -- amitriptyline, by ac-  
18 celerating the heart rate, to a great extent precludes  
19 recurrence of sinus bradycardia which is a normal occurrence.  
20 You can see that its frequency in the Sertraline and placebo  
21 groups is the same.

22 The review by Drs. Fisch and Knoebel concludes that  
23 Sertraline has no demonstrable effect on the clinical  
24 electrocardiogram.

(Slide)

1 The overall conclusions are that Sertraline, in the  
2 treatment of major depression, is effective. It appears to  
3 reduce the incidence of depressive relapse. Sertraline  
4 offers well-tolerated therapy and is without significant  
5 anticholinergic CNS arousal, cardiotoxic activity and does  
6 not cause weight gain.

7 Sertraline appears to offer effective and well-  
8 tolerated therapy in the treatment of patients with major  
9 depression.

10 Thank you and we will be glad to answer any  
11 questions.

12 DR. CASEY: Thank you, Dr. Ryder. Are there any  
13 questions or comments from the Committee? Dr. Prien?

14 DR. PRIEN: In terms of clinical results from  
15 studies 103 and 104, we have been provided with mean change  
16 scores from baseline and the outcome of statistical analyses  
17 for the difference in mean change scores between drug and  
18 placebo. However, it is difficult to determine the clinical  
19 significance of the statistically significant differences in  
20 mean change scores, particularly when you are looking at  
21 differences in change of only 2 or 3 points on the HAM-D  
22 total score or differences between groups on the CGI improve-  
23 ment and severity of illness scale of 0.4 or 0.5, which is  
24 not a tremendous difference.

Do you have data on the percentage of patients in

1 each group who showed improvement based on some designated  
2 criteria-based outcome measure? Say, CGI improvement score of  
3 1 or 2 or HAM-D total score of less than 10, or even percent  
4 reduction over baseline for individual patients?

5 DR. RYDER: One of the analyses done was an  
6 examination of the proportion of patients who responded. Two  
7 definitions of response were looked at. One was a HAM-D  
8 decrease of greater than 50 percent from baseline. A second  
9 was, as Dr. Prien suggested, a CGI severity score of 1 or 2.

10 These analyses showed that in protocol 104 the  
11 proportion of patients improved was generally comparable  
12 between Sertraline and amitriptyline. If I remember correct-  
13 ly, the figure is about 65 percent using intention-to-treat,  
14 last observation carried forward.

15 DR. PRIEN: I am somewhat less impressed with  
16 comparing Sertraline with amitriptyline, particularly since  
17 your mean dose was about 100 mg of amitriptyline, which, for  
18 patients who have HAM-D scores of over 18, may be somewhat  
19 small. But I am interested in the comparison between  
20 Sertraline and placebo with respect to individual improvement  
21 rates.

22 DR. RYDER: If I remember correctly, the correspon-  
23 dent placebo proportion was about 45, 48 percent.

24 PFIZER REPRESENTATIVE: Forty-eight.

DR. RYDER: I am told it was 48 percent.

1 DR. ESCOBAR: Is that only for 104 or also for 103?

2 DR. RYDER: For 103 we also have proportions for  
3 the combined Sertraline group, as well as the individual  
4 Sertraline groups. If my memory serves me correctly, the  
5 placebo proportion is about 45 percent for protocol 103 and  
6 for the Sertraline combined group it is approximately 58  
7 percent. I am sorry, 60 percent. There are people who have  
8 the data available on the side.

9 (Slide)

10 This is the evaluable patients subset, with the  
11 proportion of patients going from week 1 to week 8. The  
12 proportions there are presented for Sertraline, amitriptyline  
13 and placebo.

14 DR. LINNOILA: In the package provided to us we had  
15 studies which were not discussed today at all. Reading that  
16 package, I come to the conclusion that Sertraline is effi-  
17 cacious basically for outpatient treatment but I do not see  
18 any efficacy in the inpatient trials. Is that a fair reading  
19 of the data or am I somehow mistaken?

20 DR. RYDER: There were two inpatient studies. I  
21 believe Dr. Lee mentioned them initially. These were studies  
22 that had from 23-37 patients per group. They were 4 weeks in  
23 duration and they did not show a difference between Sertraline  
24 and placebo.

I should mention that in the preparation of our

1 studies for presentation, we have looked at our data base in  
2 protocol 104, looking at patients who had a HAM-D baseline  
3 score from 17-24 versus those who had a score of 25 or  
4 greater, and the efficacy of Sertraline is maintained in the  
5 patients who have the higher baseline scores.

6 DR. DAVIS: Could we see those data?

7 DR. RYDER: I do not have a prepared slide but we  
8 may have a photocopy of a SAS output.

9 DR. CASEY: Perhaps we should save some of these  
10 questions and comments for the overall Committee discussion.  
11 If we need more data, it would then give the sponsor a chance  
12 to collect their thoughts a little bit rather than trying to  
13 do it here at the last minute. It would give us a chance to  
14 discuss them in full context. But I would give the sponsor  
15 the opportunity to address this last question now if they  
16 wish.

17 DR. RYDER: This is not a prepared slide. This is  
18 raw SAS output so it is not especially audience friendly.

19 (Slide)

20 As I warned you, it is raw SAS output. It is  
21 merely a photograph of SAS output.

22 DR. CASEY: Could you walk us through this?

23 DR. RYDER: Sure. These are the values for the  
24 Sertraline, amitriptyline and placebo groups. They are

25 categorized according to baseline HAM-D severity -- moderate

1 and severe. The definition for severe is a HAM-D score at  
2 baseline of 25 or greater; for moderate, from 17-24; and for  
3 mild less than that. There were very few patients in the  
4 mild category, as you can gather based on the protocol  
5 criteria.

6 These are the values for the baseline and the change  
7 from baseline. For Sertraline, here is the change from  
8 baseline in the moderate and the change from baseline in the  
9 severe groups, and for amitriptyline and for placebo.

10 If my memory serves me correctly, this is the  
11 intention-to-treat group, last observation carried forward to  
12 the final visit.

13 DR. CASEY: Dr. Davis, is that a response to your  
14 question?

15 DR. DAVIS: I cannot read the slide from this  
16 distance.

17 DR. LEBER: Walk up to it.

18 DR. CASEY: I believe for the Sertraline moderate  
19 group the change was 10.5. In the severe group the change  
20 was 14.0. For the amitriptyline moderate group, the change  
21 was 11.4 and for the amitriptyline severe group the change  
22 was 15.0. The change for the placebo moderate group was 7.9  
23 and for the severe group the change was 8.0.

24 DR. LINNOILA: Mr. Chairman, interestingly, if one  
25 looks at the data, by far the clearest efficacy for Sertra-

1 line, even though there is efficacy in every group, is in the  
2 mild depressive group. If one looks there at the change  
3 scores, either as a percentage from baseline or against  
4 placebo, clearly, the biggest difference is in the mild group.

5 DR. RYDER: I do not know if Dr. Linnoila can see  
6 it, but the Ns are very small in that group.

7 DR. LINNOILA: Sorry, I agree.

8 DR. LEBER: Can I make a suggestion? I would like  
9 to stick my two cents in -- I have been quiet until now.

10 It seems to me that we are engaging in a discussion  
11 of a major conceptual area, which is called the size of the  
12 treatment effect. I do not believe that there is a standard-  
13 ized method that everyone agrees upon at this point in time,  
14 although there are measures that attempt to estimate the size  
15 of the treatment effect that everybody agrees upon for a  
16 variety of reasons, part of it is statistical; part of it is  
17 conceptual; part of it is even what a HAM-D score means. How  
18 you map it on the spectrum of disease pathology may vary from  
19 center to center, individual to individual and trainee to  
20 trainee. So I am not too sure what we are talking about when  
21 we look at these numbers in an absolute way.

22 I was hoping, because I know that Tom Laughren is  
23 going to discuss some of these issues, that we could give him  
24 a chance to sum up before we get into the detailed nitty-

25 gritty of this. Then, perhaps with that as the framing for

1 the discussion, we can proceed.

2 DR. CASEY: That is very good. I agree. Dr.  
3 Tamminga?

4 DR. TAMMINGA: I just have one question about that  
5 slide. I want to clarify that that contains no data from the  
6 inpatient studies. Is that right?

7 DR. RYDER: No, this is a subset of protocol 104.

8 DR. TAMMINGA: Right. Sometime in the course of  
9 our discussion, I would like to hear from the Company and  
10 from the FDA about the inpatient studies.

11 DR. LEBER: I believe we will also talk about what  
12 the difference is between a positive result, a negative  
13 result, a failed study and a null study. All of these are  
14 ways of carving nature up to make things understandable in a  
15 regulatory sense. We will discuss that, I hope, in the  
16 course of the discussion.

17 DR. LIEBERMAN: Mr. Chairman, if this are the only  
18 data which bear on the issue of the relationship of the  
19 efficacy of Sertraline to severity of depression, would it be  
20 possible to have a copy of that table distributed so that we  
21 can see it up close?

22 DR. CASEY: I do not know if it is technologically  
23 possible for the sponsor to produce it. There are a few head  
24 nods in the yes direction so I think that we may be able to  
25 get a photocopy and have it for our discussions.

1 DR. DAVIS: Additionally, it would be useful to  
2 have data from the other studies that address the same  
3 question.

4 DR. CASEY: With that lengthy introduction, I think  
5 we are well prepared for Dr. Laughren's summary. Thank you,  
6 Dr. Ryder, for your presentation.

7 PRESENTATION BY THOMAS P. LAUGHREN, M.D.

8 DR. LAUGHREN: Thank you, Dan. I would also like  
9 to thank my colleagues at FDA and Dr. Ryder for very clear,  
10 focused and informative presentations.

11 (Slide)

12 I included this slide mostly as a way of reminding  
13 you that in addition to clinical data, there are a number of  
14 other areas that need to be addressed in an NDA. We have  
15 looked very carefully at the chemistry and the pharmacology  
16 data. The reviews, at this point, are not finalized,  
17 however, based on what we have seen, we see no problems in  
18 either of these areas that would preclude the approval of  
19 this product.

20 Of course, it is also required that a drug be  
21 characterized from a pharmacokinetic standpoint. Dr. Ryder  
22 has presented you with some of the biopharm. data. We have  
23 also looked very closely at all of those data and, again, we  
24 see no serious problems. There are a few biopharm. issues  
25 that still remain to be resolved. So I am going to come back

1 to that in a bit.

2 Finally, you have heard a great deal about the  
3 clinical data. I want to say a bit more about that, mostly  
4 as a way of contrasting what we have gotten with what we  
5 would like to get in an ideal setting.

6 (Slide)

7 These are the typical bio. studies that we get in  
8 an NDA. As I have mentioned, we have, for the most part,  
9 received these studies for Sertraline. The ones that we have  
10 not yet gotten, we have been promised. These are studies  
11 that are either nearing completion or are completed but not  
12 yet fully reported.

13 However, as I mentioned, there are a few areas  
14 where we need a little bit more work. We still need to have  
15 dissolution studies. We still require additional food effect  
16 studies. We are going to want an additional study comparing  
17 the pharmacokinetics in morning versus evening dosing.  
18 Otherwise, from a bio. standpoint, things look pretty good.

19 (Slide)

20 This is just a quick summary of the pharmacokinetic  
21 characteristics of Sertraline. You have heard some of these  
22 data before. I want to summarize them here because some of  
23 these data are relevant to comments I want to make a little  
24 bit later on.

As you have heard, Sertraline has a large first-

1 pass effect. The half-life of the parent of Sertraline is  
2 roughly a day. It has been demonstrated to be linear with  
3 respect to dose, in the dose range of 50-200 mg which is the  
4 dose range which is being proposed for clinical use. As Dr.  
5 Ryder mentioned, that has been shown not only with single  
6 dose data but also with multiple dose data.

7 As he also mentioned, there is a very prominent  
8 food effect. The availability of Sertraline is increased  
9 roughly 40 percent when it is given with food compared to a  
10 fasting state, and I want to come back to that issue. It is  
11 highly protein bound.

12 From the data that we have looked at, we have the  
13 impression that the clearance of Sertraline is diminished  
14 somewhat in the elderly compared to younger individuals.  
15 That may also have implications for dosing.

16 Finally, as has been mentioned, there is a major  
17 metabolite, desmethylsertraline, which has a half-life of  
18 roughly 60-100 hours. However, it is of importance that the  
19 metabolite appears to be relatively inactive compared to the  
20 parent.

21 (Slide)

22 Now I want to turn to clinical data. I would like  
23 to start off by making a few general comments about what,  
24 under ideal circumstances, we would like to learn from the  
25 clinical phases of drug development. Then I want to relate

1 that to what we have for Sertraline.

2 Of course, the primary goals are to establish  
3 safety and effectiveness. There is a third goal, which is  
4 sometimes neglected somewhat, that is, to develop the data  
5 base needed to direct clinicians in how best to use a  
6 product. In order to know how to use a product in clinical  
7 practice, first of all, one has to know how to dose the  
8 typical patient. That means knowing the dose range, knowing,  
9 in particular, the lowest effective dose; knowing on what  
10 schedule to give the drug; knowing whether or not to give it  
11 with food. Finally, if the drug is going to be titrated to  
12 some target dose, one needs to know what dose to start with;  
13 how to get up there in terms of dose increments; and at what  
14 intervals to make those changes. Those are all important  
15 clinical matters.

16 In addition, one would like to know how to indivi-  
17 dualize the dose for various subgroups, for example, the  
18 elderly patients with concomitant disease or patients taking  
19 other medications.

20 A drug which is going to be used in a condition  
21 which is chronic, such as depression, one needs to have data  
22 on whether or not to continue the drug after one has obtained  
23 a response and one would like to know whether or not the drug  
24 protects patients from relapse in chronic use. One would

25 also like to know if there are any problems in discontinuing

1 patients from the drug.

2 Finally, I think that any drug development program  
3 should at least explore the pros and cons of plasma level  
4 monitoring in relation to clinical use, both safety and  
5 efficacy.

6 (Slide)

7 What do we actually have for Sertraline? From the  
8 standpoint of efficacy, we have study 104, a 3-way study  
9 comparing amitriptyline, Sertraline and placebo, which both  
10 we and the firm agree is a significantly positive study.

11 We also have study 103, a fixed-dose study, which  
12 we like in concept. We very much like the dose comparison  
13 design. It potentially yields very important information.  
14 However, as was noted, there was a problem in the way this  
15 study was conducted. Patients were not titrated to dose,  
16 leading to high dropouts in the higher dose groups. Neverthe-  
17 less, we feel that that study is a positive study. It is  
18 less strong than 104. As Dr. Lee mentioned, for HAM-D total  
19 if the data are reanalyzed excluding certain items that  
20 potentially confound the outcome, namely, those items that  
21 represent side effects of Sertraline, the overall outcome is  
22 a bit more positive.

23 From a standpoint of safety, the data base of  
24 exposed patients is quite large, roughly 3000 patients.

25 Overall, it is quite reassuring in terms of safety. Of

1 course, that does not rule out the occurrence of rarer side  
2 effects that may not show up until a drug reaches a much  
3 larger population. But from the standpoint of what we have  
4 seen, it looks pretty good.

5 (Slide)

6 What about the other issues of establishing a data  
7 base needed to instruct clinicians in how to use the drug?  
8 Do we know how to dose the typical patient?

9 This slide is taken from the proposed instructions  
10 for use of Sertraline in the proposed labeling initiating  
11 treatment. Basically, what this suggests is that patients be  
12 dosed in a range of 50-200 mg; that they be started at a dose  
13 of 50 mg with upward titration based on clinical response.  
14 It suggests that the dose be administered once a day, either  
15 with the evening meal or with breakfast, and that patients  
16 with renal or hepatic compromise be given lower or less  
17 frequent dosing.

18 I believe these instructions are essentially based  
19 on study 104 since that was the study in which patients were  
20 titrated in a range of 50-200 mg. There are some questions  
21 here. We still do not know what the minimum effective dose  
22 is. These instructions do not tell us what increment to use  
23 in get patients up to an effective dose. It says nothing  
24 about the dose interval, the interval for making the change.

1 it is not going to reach steady state for roughly a week. So  
2 one would want to wait at least a week; one might want to  
3 wait a longer. We just do not have the data that bear on  
4 that issue.

5 In addition, it suggests here that it be given with  
6 food. One point I would like to make is that we have very  
7 little data from the NDA on whether the drug was given with  
8 food or not. The discovery of the fairly prominent food  
9 effect was not made until well into the development program.  
10 So, for example, in studies 103 and 104 we do not know  
11 whether patients were dosed with meals.

12 I do not want to be too critical. I think a very  
13 strong mitigating factor for these relative deficiencies is  
14 that overall this drug has been shown to be relatively safe  
15 when dosed in the interval of 50-200 mg. Nevertheless, these  
16 are clinical issues that one would like to have information  
17 on.

18 (Slide)

19 What about individualizing dose? Again, I mentioned  
20 that we have data in the NDA suggesting that clearance may be  
21 somewhat diminished in the elderly relative to younger  
22 individuals. So I think some change would need to be made in  
23 dosing the elderly. If this drug is approved, we will modify  
24 the labeling to reflect a need for modified dosing in the  
25 elderly.

1 As far as patients with renal or hepatic dys-  
2 function, as Dr. Ryder mentioned, those data have not yet  
3 been submitted so we have not had an opportunity to look at  
4 those issues.

5 I want to mention one other point regarding  
6 individualizing treatment. We have no data for this drug in  
7 children. Of course, the sponsor is not seeking an indication  
8 in children. Nevertheless, I think it is important to point  
9 out that depression is an entity that exists in children and  
10 if this drug were to be approved, it is likely that some  
11 clinicians will want to use this drug in children. I do not  
12 want to make a big point of this, except to mention that this  
13 is an area where we have no knowledge.

14 What about the issue of continuation maintenance  
15 treatment? Study 320 was intended to look at maintenance  
16 efficacy. However, the way that study was designed and  
17 conducted makes it very difficult to draw any conclusions  
18 about its effect in preventing relapse. It may tell us  
19 something about the advantage of continuing patients beyond  
20 an initial response but it really does not address the issue  
21 of maintenance efficacy.

22 Despite that, I want to comment the sponsor for  
23 doing the study. We rarely have any direct data on conti-  
24 nation or maintenance efficacy in NDAs for depression, even  
25 though this is obviously an important clinical issue. So I

1 think they ought to be commended for doing it. I think the  
2 design could have been improved.

3 As far as discontinuation, we have no evidence from  
4 what we have seen that there is any withdrawal syndrome from  
5 Sertraline.

6 (Slide)

7 What about the final area of therapeutic drug  
8 monitoring? These are the only data from the NDA that bear  
9 on the issue of relating plasma levels to clinical response.  
10 These are data that you have not yet seen. This was an 8-  
11 week parallel group dose comparison trial. The dose groups  
12 in this trial were 50, 100, 200 and 400 mg. Patients were  
13 titrated up to those doses.

14 We have only very preliminary data from the study,  
15 which I understand is still ongoing.

16 PFIZER REPRESENTATIVE: No.

17 DR. LAUGHREN: But let me just tell you what has  
18 been done here. The sponsor pooled patients and then rank  
19 ordered their mean plasma levels. Patients had their plasma  
20 levels monitored at various points throughout the trial. So  
21 the plasma levels were rank ordered and quintiles were  
22 formed, five groups, based on these mean plasma levels. They  
23 then looked at the mean change in the HAM-D depression item  
24 for each of those quintiles.

What you see is the least change in the low plasma

1 level group, with monotonically increasing changes as you get  
2 to the higher end and with some levelling off as you get the  
3 higher plasma level groups. These are very crude data,  
4 difficult to draw any conclusions from. But I think it at  
5 least suggests that there may be some plasma level effect  
6 relationship here that might be worth pursuing.

7 In conclusion, I think overall the sponsor has  
8 provided evidence to suggest that this drug has antidepressant  
9 activity; that it can be used in a reasonably safe manner in  
10 treating depression. There are some clinical questions that  
11 remain -- yes?

12 DR. LINNOILA: Is this fixed-dose data?

13 DR. LAUGHREN: It is fixed but patients were  
14 titrated.

15 DR. DAVIS: Were they preassigned to a fixed dose?

16 DR. LAUGHREN: Yes, it was a dose comparison trial  
17 where patients were assigned to a dose group and then  
18 titrated to that dose. Again, the dose groups were 50, 100,  
19 200 and 400.

20 DR. LINNOILA: Thank you.

21 DR. LAUGHREN: As I have noted, there are some  
22 remaining clinical questions that we would like to have  
23 answered. We have discussed this with the sponsor. They  
24 have committed to doing an additional trial to try and

1 to do this on a postmarketing basis. Given the relative lack  
2 of important safety findings for this drug, I am inclined to  
3 agree with that.

4 I think I will stop there and let the Committee go  
5 on with their deliberations.

6 DR. CASEY: Thank you, Tom. Are there other  
7 questions by the Committee members to be addressed directly  
8 to Dr. Laughren? Dr. Hezel?

9 DR. HEZEL: Is study 86 inpatient or outpatient?

10 DR. RYDER: Outpatient.

11 DR. CASEY: Tom, I would like to ask one question  
12 regarding the last category on your slide about establishing  
13 guidelines for optimal use by clinicians, that is, to inquire  
14 as to whether you think that your list of five items should  
15 be state-of-the-art, or is it a goal sometime in the future?  
16 And do you think, from what we know about the other products  
17 that are currently available, could we adequately answer  
18 these questions?

19 DR. LAUGHREN: It is a fair question. Basically,  
20 it is more state-of-the-art than what we have for most drugs.  
21 It is an ideal goal. It is what we would like to have  
22 ideally because it is always a problem, once you get around to  
23 deciding that a drug works and that it is reasonably safe, to  
24 write labeling. We want to give clinicians as much infor-  
mation as we can to help them in using a drug in clinical

1 practice. It is these kinds of data that would be very  
2 helpful in getting down to the business of writing labeling.  
3 I agree that these are ideal goals. For many drugs, if not  
4 most drugs, we do not have all of this kind of information.

5 DR. CASEY: From some of your complimentary  
6 comments about the sponsor, I take it that they have done as  
7 well or better than many in the past; that it was not meant  
8 to be a substantial criticism of the sponsor but, yet, it was  
9 not a standing ovation that all is done and we are ready to  
10 go on.

11 DR. LAUGHREN: It was really more a reminder for  
12 all of us that there is a great deal that one would like to  
13 have before one puts a drug out on the market and gives it to  
14 clinicians to use. The sponsor has been very helpful. As I  
15 say, I think these are relative deficiencies that are  
16 mitigated quite a lot by the fact that the drug is reasonably  
17 safe in the dose range in which it has been proposed for use.

18 DR. CASEY: Thank you. Dr. Leber?

19 DR. LEBER: I think it should be understood that  
20 all comparisons are probably odious. We do not have a  
21 comparative efficacy/safety drug law, although, clearly,  
22 clinicians using drugs are interested in determining relative  
23 efficacy, relative safety and relative utility -- whatever  
24 that means.

1 application from a regulatory perspective, we are asked to  
2 face what the law requires us to do. We are obliged to  
3 approve an NDA unless our review finds that the drug is  
4 unsafe for use; that inadequate testing has been done to show  
5 that the drug is safe. We are required to approve the drug  
6 unless we find that the tests submitted failed to contain  
7 substantial evidence of efficacy. That means more than one  
8 investigation which is adequate and well controlled which  
9 would allow experts -- experts by experience, training and  
10 background -- to reach a conclusion that the drug is effective. And we are obliged to approve the drug unless we find  
11 that the labeling is false or misleading in some particular.  
12

13 Now, those are three of the seven items in the law.  
14 But, given that perspective, you can understand why we have  
15 to look at the application submitted to us and recognize, in  
16 a way, that we can exhort people to do more. But the law did  
17 not set out a very Draconian or Procrustean set of standards  
18 that have to be met. So a lot of what Tom has said is  
19 something we seek. That is sought in the sense that "A man's  
20 reach should exceed his grasp, or what's a heaven for?" rather  
21 than necessarily setting it out as a demand in a regulatory  
22 way because each time, with your help, we have to face what  
23 the firm has submitted in a concrete way and decide whether  
24 it meets the test of law.

1 world, that the ideal is never attained. As drug development  
2 programs go, you have to ask yourselves whether this firm has  
3 provided you with enough information to reach a conclusion  
4 for the law's purposes. If you were academicians talking  
5 about the idealized world, I am sure that each and every one  
6 of us could spend many hours talking about designs or things  
7 we would like to attain.

8 But I think that is an important point of the  
9 regulatory charge to this Committee -- understand the  
10 question we are asking and the kind of advice we need. I  
11 know that many things have not been done with this drug.  
12 Some of them may arise and the reasons they have not been  
13 done. For example, that patients who are in-hospital show a  
14 response has not been documented. But you have to ask the  
15 question what does it mean not to document that? What does  
16 it mean today to say that you have hospitalized depressed  
17 patients? And what inference can you draw from small studies  
18 which fail to show that? In a regulatory sense, it is  
19 distinct from other general senses.

20 So if we can come back to the regulatory flavor of  
21 the questions, I think it would be useful.

22 DR. CASEY: Dr. Escobar, do you have a question for  
23 Dr. Laughren?

24 DR. ESCOBAR: I want to ask about previous history.

1 evidence of inpatient efficacy?

2 DR. LEBER: Yes.

3 DR. ESCOBAR: It has?

4 DR. LEBER: Many times. Again, you have to make  
5 very certain what you mean when you say evidence of inpatient  
6 efficacy. I am going to ask you a question. Let's assume  
7 that we did a study with inpatients and we found in that  
8 study that we had a difference that achieved statistical  
9 significance between those randomized to the experimental  
10 treatment and those randomized to placebo. Would you reach a  
11 conclusion that the drug is an ineffective treatment for  
12 inpatients on the basis of that?

13 The head is being shaken in a negative direction,  
14 for those of you who cannot read that on the transcript.

15 I think that the whole issue that I thought we  
16 might get into and that was being raised earlier is the issue  
17 of the size of the treatment effect and the meaning of that  
18 treatment effect as extrapolated to the world of use. I have  
19 no idea what constitutes proof of efficacy, except on the  
20 basis of what we, as a Committee, agree on an ad hoc case  
21 as there needs to be. You can be guided by the past but the  
22 inference is an abstraction -- what is an antidepressant?

23 I think over the past 27 years or so since people  
24 have been looking at that question, we have taken changes on

the HAM-D, the Clinical Global Impression of severity, POMS 01179

Motus/Pfizer

1 factors and a variety of other things and taken those as  
2 testimony or indicators of efficacy. But that is tradition.  
3 That is not truth. Anyway, that is the answer to that  
4 question.

5 DR. CASEY: I will take those comments as the  
6 opportunity for transition to the next section of our  
7 meeting, which is to turn it over for discussion to the  
8 Committee members. I will point out at the beginning of our  
9 discussion that we have two questions put forth before us by  
10 the Division:

11 One, has the sponsor provided evidence for more  
12 than one adequate and well-controlled clinical investigation  
13 that supports the conclusion that Sertraline is effective for  
14 the treatment of depression?

15 Two, has the sponsor provided evidence that  
16 Sertraline is safe when used in the treatment of depression?

17 Those are the two general issues before us. Now I  
18 turn the meeting over to us as a Committee for discussion.  
19 Let's start with the first issue, have they shown efficacy in  
20 more than one study that is adequate?

21 DR. HEZEL: My question is sort of related to the  
22 inpatient/outpatient issue. There were no plasma levels  
23 drawn on the outpatient studies. Is that correct?

24 DR. LEBER: We will have to ask the firm. Do 103

1 DR. RYDER: No plasma levels were determined in  
2 protocols 103 and 104.

3 DR. CASEY: The answer from the sponsor was that  
4 there were no plasma levels from outpatient studies.

5 DR. RYDER: The single slide that Dr. Laughren  
6 showed was from study 86. That was an outpatient trial, not  
7 an inpatient study.

8 DR. HEZEL: But 103 and 104 that we have all the  
9 data on had no plasma levels done?

10 DR. RYDER: Plasma levels were not drawn in those  
11 studies.

12 DR. HEZEL: And those were outpatients.

13 DR. RYDER: That is correct.

14 DR. HEZEL: So we relied on patient reports as to  
15 whether or not they took the drug?

16 DR. RYDER: Pill counts were done as a measure of  
17 compliance.

18 DR. HEZEL: So each time they visited the clinic,  
19 they brought in their pill container for pill counts?

20 DR. RYDER: Correct.

21 DR. HEZEL: Okay. The problem I have with that is  
22 that on the inpatient studies where drugs and environment are  
23 controlled, we did not see a significant effect. But in the  
24 outpatient studies where drugs and all other variables are  
not controlled, we saw the therapeutic effect. The difficulty

1 I have with that then is the leap of faith that I must  
2 attribute the therapeutic effect to Sertraline.

3 The literature is full of information about how  
4 poorly we all take prescribed medications, whether or now we  
5 take them on time, at all, finish the prescription -- that is  
6 quite common.

7 DR. LEBER: Does anyone want to answer that  
8 question? I think I can start an answer but it is not an  
9 easy one. Let's start by talking about if patients in any of  
10 the controlled, randomized trials that do show evidence of  
11 efficacy had failed to take their medication, do you think  
12 that would increase or decrease the size of the treatment  
13 effect? I am asking you, Dr. Hezel. If this is going to be  
14 a dialogue, I think we ought to get into it.

15 DR. HEZEL: On the outpatient studies?

16 DR. LEBER: On the studies that have shown --  
17 remember, we are treating these as fixed effects. That means  
18 that we are not making any generalizations about this. We  
19 are saying, in terms of a randomized, controlled trial that  
20 contrasts between 4 groups in 1 study and 3 groups in the  
21 other study, and we find a difference between treatments in a  
22 randomized, controlled trial and we attribute it to perhaps 3  
23 or 4 different sources: It could be fraud, which we would  
24 not think likely because these are multicenter trials in

It could be

01182

Motus/Pfizer

1 chance but we do statistics to rule out the likelihood that  
2 that is claimable. It could be bias, some systematic bias in  
3 the design and I suppose you are arguing that I could take  
4 treatments in such a way that I would be seeing a placebo  
5 effect only and that is what is giving me the results. Or it  
6 could be a treatment effect due to the drug.

7 I think if you think of those 4 possible expla-  
8 nations for the randomized, controlled trials that are  
9 positive, the most reasonable explanation for them -- dealing  
10 with those studies per se -- is that the drug did it. In the  
11 3-way study with amitriptyline there is a consistency that  
12 both active treatments showed a difference from placebo that  
13 is favorable. In the study comparing 50, 100 and 200 versus  
14 placebo there is again a consistency, although not every  
15 level reached statistical significance but across every center  
16 that you look at in those studies there is basically the same  
17 directional trend. If you took that as a whole, you would  
18 believe, based upon what accounts for the differences, that  
19 the drug must have done it.

20 The issue that I think most people are dealing with  
21 is, is the size of that effect significant enough in terms of  
22 clinical meaning to allow us to conclude that we have a bona  
23 fide antidepressant that has clinical utility to go into the  
24 armamentarium? But I think in terms of the internal validity

1 could be attributed to, most reasonable people would agree  
2 that they would have to be attributed to the effect of drug.

3           Again, I would welcome hearing from the Committee  
4 if anyone would choose to interpret that it is not due to  
5 drug, and hear the reasons for it.

6           DR. ESCOBAR: I guess the reason I worry about  
7 outpatient versus inpatient data is because there is some  
8 evidence that a sizeable number of patients recruited in the  
9 outpatient clinic for this type of trial is selected through  
10 newspaper advertisements. They are so-called symptomatic  
11 volunteers. Patients who are recruited in inpatient units  
12 are what we may view as "psychiatric" patients.

13           In all fairness to Sertraline, this goes beyond the  
14 individual agent that we are talking about today, but what  
15 often happens is that once one of these agents is approved on  
16 the basis of outpatient data, then when you begin using it in  
17 the traditional psychiatric populations you may find some  
18 surprises. So my concern about consistency in outpatient and  
19 inpatient data is because I have a feeling that these  
20 populations are very different.

21           DR. DAVIS: I wonder if it would be possible to get  
22 printouts for the other studies, like 103 and 315, where  
23 there is a breakdown for severe versus moderate depression.  
24 That would speak to this point about symptomatic volunteers.

1 if it will help the patients with moderate to severe endo-  
2 genous depression, which may be a different animal from mild  
3 depression. An approximation for that would be the breakdown  
4 of patients by severe and moderate.

5 DR. LEBER: Can I ask something of the firm? I  
6 want to make very clear that in 103 and 104 there are not  
7 symptomatic volunteers, as I understand it. That is a  
8 question to the firm.

9 DR. RYDER: There are not symptomatic volunteers.  
10 These are patients with DSM-III diagnoses of major depression.  
11 If you would like, Dr. Mendels is here and he was one of the  
12 protocol 104 investigators --

13 DR. ESCOBAR: The questions is whether they  
14 advertised for the subjects.

15 DR. RYDER: Some of the clinics, I imagine,  
16 advertised. I do not remember systematically collecting  
17 those data.

18 DR. LEBER: Nonetheless, there would be a difference  
19 between the symptomatic volunteer and a patient who is  
20 depressed who finds out that he can get free treatment for  
21 depression. It is not unique to firms. Doesn't the NIMH do  
22 it?

23 (Laughter)

24 DR. CASEY: Dr. Mendels, would you like to respond?

DR. MENDELS: I can make a couple of comments in 01185

1 terms of the several issues that have been raised as part of  
2 this discussion. First of all, insofar as the inpatient  
3 studies that were completed and were a part of the NDA, I  
4 think it would be fair to say, as an outsider to the firm,  
5 that these were not very good studies. There were small  
6 numbers. They were done at a very early stage. When you  
7 look at the sites at which they were done, they were less  
8 than optimal sites. So it would be my conclusion that these  
9 sites may not have had good patients with major depressive  
10 disorder. They would be more likely to have chronic resistant  
11 type patients.

12           So I, personally, do not think that those are truly  
13 negative studies. I do not think those two studies suggest  
14 that the drug does not work. I think those were just studies  
15 in which probably very few drugs might have worked.

16           Secondly, I think the term symptomatic volunteer  
17 obviously has a stormy history in our field. Without wanting  
18 to get into that whole debate now, I think it is fair to say  
19 that we know from NIMH and other studies that somewhere on the  
20 order of 70 percent of people with major depressive disorder  
21 in the community do not present for treatment in traditional  
22 psychiatric or medical settings. A significant percentage of  
23 these 70 percent are being captured at a number of clinical  
24 trial sites. There is no doubt about it. Clinical trial

1 I think it is pejorative to suggest, however, that  
2 these people do not necessarily have major depressive  
3 disorder. I think all of the investigators who were involved  
4 in these two studies are reasonably experienced psychiatrists  
5 who have worked in a number of settings, many of them in  
6 major academic institutions. I think it would be fair to say  
7 that the diagnosis of major depressive disorder was based in  
8 DSM-III or was made for these patients.

9 The ways in which doctors attract patients today  
10 are changing very rapidly. We see increasing numbers of  
11 physicians in traditional practices, including many hospitals,  
12 who are advertising for patients. I think we have to begin  
13 to review that issue. The critical question is not so much  
14 where the patients come from but are the patients alerted to  
15 the possibility that they have a condition for which a  
16 treatment or a potential treatment is being offered?

17 Finally, and I am hoping you will be able to see the  
18 data, there has been a reanalysis on several of the studies  
19 in which the patients were broken down according to HAM-D  
20 scores above 24 and below 24. It is my understanding, having  
21 seen the data and, obviously you will want to see them for  
22 yourselves, that there is a fairly consistent pattern across  
23 studies which suggests that the patients with the highest  
24 scores do at least as well as the patients with the lowest  
scores and, in some instances, perhaps even a little better.

1 Thank you.

2 DR. CASEY: Is it possible that the Committee might  
3 see that data reanalysis sometime today?

4 (Dr. Ryder nods in agreement)

5 The answer is yes. So I imagine a photocopying  
6 machine somewhere is warming up. Dr. Linnoila?

7 DR. LINNOILA: I think that the question about the  
8 inpatient versus outpatient is an important one because,  
9 first of all, it is important for clinical practice. We know  
10 that mental health insurance coverage is not very great and  
11 it is not good to put patients on inefficient treatment. If  
12 there is a real indication that in some severe inpatients  
13 certain drugs work less well, then I think that that is  
14 important to know for medical practice.

15 The second issue is that there are data from  
16 Europe, from large, multicenter studies looking at several  
17 serotonin reuptake inhibitors versus conventional tricyclics,  
18 which fairly convincingly suggest that the tricyclics have  
19 somewhat higher response rates in the patients than the  
20 serotonin reuptake inhibitors. However, that comes at a  
21 price. There are clearly more side effects to the tricyclics  
22 than there are to the serotonin reuptake inhibitors.  
23 Typically, it is not a random selection as to who ends up  
24 being an inpatient versus who ends up being an outpatient.

—

8

16

— 24

1 point when I was asking the question earlier, that the  
2 hospitalized state may be a signal or a marker. But I do not  
3 know what percentage of the variance of severity, or difficult  
4 response, or difficult to manage is actually captured by  
5 that.

6 The second thing I think you have to worry about in  
7 this particular case is sample size variance and the size of  
8 the treatment effect which, as we all know, determines the  
9 statistical significance. If you do power studies and power  
10 analyses before you conduct studies, obviously you need a lot  
11 of patients when you look at treatments of small or modest  
12 treatment effect sizes. Generally speaking, in order to  
13 collect sufficient numbers of patients to detect drugs with  
14 treatment effect sizes of this magnitude, you are pretty much  
15 stuck with outpatient, ambulatory studies. All we are  
16 acknowledging in doing that is saying that the size of the  
17 average treatment effect, which I have yet to define, tends  
18 to be such that if you want to document the efficacy of most  
19 antidepressants in a robust way, you are going to have to go  
20 outpatient in a multicenter trial.

21 Now, we have that data set from large multicenter  
22 trials and we know that the drug is effective in that  
23 setting. Now you go inpatient and you do small studies -- I  
24 do not know what the variance looks like but the N is small.

MILLER REPORTING CO., INC. Can you hope to achieve statistical significance even if you

01190

Motus/Pfizer

1 got the same effect treatment sizes? I doubt it. They are  
2 grossly under-powered.

3 Under those circumstances, to reach a conclusion  
4 that the drug does not work inpatient is not really fair  
5 because the question is what kind of a test. You may say you  
6 would rather use ECT because ECT is going to give you your  
7 biggest bang over the shortest period of time. But, as I  
8 said earlier, we are not involved in a comparative question  
9 necessarily. It may be useful for directions for you. It is  
10 interesting to everyone in learning how to use the drug. But  
11 it is not a sine qua non for approval that you work in  
12 inpatients. That is my explanation.

13 DR. CASEY: To address this issue a little bit  
14 more, I have asked Dr. Lee if she could make a few comments  
15 in a few minutes, when she has a chance to collect her  
16 thoughts and her figures, about the power of those inpatient  
17 studies and the ability of those studies to find an effect if  
18 there were one, and to look at some of the weaknesses that  
19 may have been involved with the methodology.

20 DR. LIEBERMAN: I think --

21 DR. CASEY: Excuse me, Jeffrey, is your comment  
22 about the power or the inadequacies of the inpatient study  
23 that Dr. Lee is going to include in her address?

24 DR. LIEBERMAN: It has to do with the therapeutic  
25 effect and severity of depression relationship, which is, in

1 part, related to by inpatients versus outpatients. Do you  
2 want me to talk about that or to refrain until after she has  
3 made her comments?

4 DR. CASEY: You be the judge.

5 DR. LIEBERMAN: Well, let me speak quickly, which  
6 is to say that in terms of the first question that we are  
7 charged to consider, I think the evidence, limited as it may  
8 be, does speak to the efficacy of Sertraline as an anti-  
9 depressant in an outpatient population. The question that  
10 has been raised and the question that lingers in my mind is  
11 whether Sertraline is effective in more severe forms of  
12 depression and what the dose-response relationship is in that  
13 population relative to what the dose-response relationship is  
14 to adverse effects in those patients.

15 From the data included in the studies that are  
16 accepted as methodologically adequate, it looks as if  
17 Sertraline has a moderate therapeutic effect which is  
18 superior to placebo and comparable to that of amitriptyline,  
19 although numerically it is less robust than with amitrip-  
20 tyline. It is also apparent from the data that there is a  
21 relationship between side effects and dosage of Sertraline,  
22 to the extent that the dose range is reflected in the patient  
23 sample.

24 It is implied that in more severely ill patients

1 question is what is the relation between therapeutic dose  
2 response and adverse effect dose response at the higher dose  
3 ranges?

4 In the two inpatient studies which did not demon-  
5 strate efficacy, the dose range went up to 400 mg. Does that  
6 imply, by virtue of lack of efficacy, that this was no  
7 better? The data were not detailed enough to enable that  
8 interpretation. But that would be one aspect of this  
9 relationship between therapeutic effect and severity of  
10 illness that I would put forward, namely, what is the  
11 relationship of the dose-response curves for therapeutic and  
12 adverse effects in the more severely symptomatic group?

13 DR. CASEY: Dr. Leber has one comment.

14 DR. LEBER: Again, this is a methodological issue  
15 that I would like to raise once again. It goes back many  
16 years to the concept of assay sensitivity, which Modell and  
17 Hood introduced and widely circulated in 1958, in an article  
18 they wrote on behalf of the Council of Drugs for AMA.

19 This was based on pain studies and they pointed out  
20 that there was no point ever discussing the results of a  
21 clinical trial unless you know that that clinical trial has  
22 the ability to discriminate an active drug from placebo and  
23 can, in fact, discriminate between two active drugs if you  
24 are making a comparative analysis.

So if we have studies such as those that failed to

1 find a difference between active drugs or those that failed  
2 to find a difference between an active drug and placebo, we  
3 do not have assay sensitivity in that trial. Generally, as  
4 an Agency, except in areas where we know historically that  
5 the results could not have happened in the absence of drug  
6 treatment, we have tended to take such studies and treat them  
7 as uninformative. They do not tell you much.

8           If you had an inpatient study where you randomized  
9 three different treatments, including the standard used in  
10 the world, whatever that might be, IMI or AMI, the new drug  
11 and placebo, and you showed time and time again that the  
12 standard drug beat placebo with big treatment effects and  
13 your drug did not, I think you would have what we would  
14 describe as a study with assay sensitivity which failed to  
15 show the comparative efficacy of the new drug.

16           But if all you have are studies that fail to show  
17 differences, I think that the interpretation from the point  
18 of view of a regulator would be that it is sort of like using  
19 a spectrophotometer that is not recording anything or is  
20 recording ODs of 3. You cannot interpret that. That does  
21 not mean anything.

22           So my question for the firm, before we trounce them  
23 for not doing inpatient studies, is have you got any three-  
24 way inpatient studies that compare a standard drug with  
placebo? If so, how did the standard drug do? So that would

1 be my challenge before you get into power considerations.

2 DR. CASEY: That is a question to the sponsor.

3 DR. RYDER: The only inpatient studies were the two  
4 that were presented. They included Sertraline and placebo.  
5 There are no inpatient studies with an active agent.

6 DR. LINNOILA: It is always very nice to hear the  
7 spectrophotometer analogy but why is it that the operator of  
8 the spectrophotometer is always left out which, in this case,  
9 is the designer of the studies?

10 DR. LEBER: I do not understand what you mean,  
11 Markku. You had better explain your metaphor. Why don't you  
12 explain it because, obviously, you have something --

13 DR. LINNOILA: Well, I have some problems because  
14 we say they do not for one reason or another and there are  
15 certain deficiencies. I think it is deplorable that there  
16 are certain deficiencies because that basically means that  
17 you are asking us to evaluate data which do not stand up to  
18 scrutiny and --

19 DR. LEBER: Who is asking you to evaluate the data?  
20 The firm submits an NDA. They are responsible for the drug  
21 development plan. Are you saying that what they did was  
22 deplorable?

23 DR. LINNOILA: Well, I am saying that some of the  
24 inpatient studies clearly are not well designed, for one  
25 reason or another, if they do not yield the answer.

1 DR. CASEY: They yield an answer. The question is  
2 what is the meaning of the answer. Dr. Lee will help us  
3 interpret some of that. Dr. Lee, I am sorry for the long  
4 delay.

5 DR. LEE: No problem. Both inpatient studies  
6 followed the same design. They were both fixed-dose studies  
7 without titration, just like protocol 103. There were 5  
8 treatment groups in each trial, the Sertraline 50, 100, 200,  
9 400 mg and placebo. The duration of the trial was only 4  
10 weeks. They needed a score of 18 to get in. One protocol was  
11 carried out in the U.S. There were 11 investigators who  
12 enrolled 132 patients. So that ended up with a total of 25  
13 patients in each treatment group, which meant that each  
14 investigator might have had 2 rounds, 10 patients that he  
15 entered into the study, which is not very much. That is a  
16 very small number.

17 In protocol 310, which is the same design, carried  
18 out in the U.K., there were 16 investigators and 174 patients.  
19 That was about 35 patients per treatment group. Still each  
20 investigator only had about 2 patients per treatment group.

21 In the U.K. study, much like protocol 320 which was  
22 also carried out in Europe, there is a large number of  
23 concomitant psychotropic medications, which makes it even  
24 more difficult to show a difference between the drug and  
25 placebo. Those are all the data I have with me.

1 DR. LEBER: Hillary, did you look at the HAM-D  
2 depression item in those studies as well as the total?

3 DR. LEE: When they were not significant, I just  
4 went over the design.

5 DR. LEBER: I want to bring up one point that I  
6 thought Tom was going to cover. It has to do with the  
7 outcome assessment using the HAM-D total rating scale for  
8 depression and whether, in fact, the HAM-D registers the side  
9 effects of a drug. Hillary talked about it. I think it is  
10 something that people have complained about before.

11 When Max Hamilton designed this scale in 1960 or  
12 before that, he thought it would be used by experts in the  
13 treatment of depression. He never thought he would be  
14 designing a scale with selectivity or specificity. He  
15 assumed that the scale would register the phenomena that were  
16 common in endogenomorphic depression, or whatever they called  
17 depression in those days that was severe. He did not design  
18 it as a differential test. In fact, if you read his paper he  
19 said that he expected that the highest scores were in people  
20 who truly had depression but warned about using it in  
21 populations that did not have depression because it would  
22 register other phenomena. Obviously, he is talking about the  
23 vegetative signs, anxiety, insomnia, GI disturbances and the  
24 like.

We have come forward almost 30 years and we begin

1 to have drugs which produce side effects which register on  
2 the HAM-D total score. I think Hillary has done some  
3 analyses and she showed them in the aggregate presentations.  
4 The HAM-D total score did worse. So the real test of this  
5 might be that if you had an inpatient drug and you are  
6 watching as a clinician, what are you looking at? You are  
7 looking at vegetative signs, agitation, insomnia. You hit  
8 them with a drug which makes them agitated, upset and so on.  
9 You may not give the drug an adequate chance, especially in a  
10 fixed-dose design.

11 I think these are terrible studies the way they  
12 worked out. I agree with Markku. But I think before you say  
13 a negative study means that the drug does not work, you have  
14 to examine whether it is a fair study and that is different,  
15 reaching a conclusion that it is a bad study and did not test  
16 the issue, from concluding that a drug does not work in  
17 inpatients. We do not know that yet.

18 DR. CASEY: To summarize Dr. Lee, I think, in  
19 fairness, she said there were too few patients with too many  
20 investigators and too much variance to give us a meaningful  
21 answer about the efficacy of the compound in the inpatient  
22 studies.

23 DR. LEE: There is also the problem of design. It  
24 was the wrong design.

25 DR. LINNOILA: Mr. Chairman, if I heard the numbers

sgg  
1 correctly, there were 300 patients in the inpatient studies.

2 Did I hear you correctly?

3 DR. LEE: Yes.

4 DR. LINNOILA: So then it comes exactly back to the  
5 point that the spectrophotometers in different centers were  
6 not calibrated, using your analogy. They did not measure the  
7 same thing. If you do not have power with 300 patients, then  
8 something is wrong.

9 DR. LEEBER: I think this also requires a point of  
10 explication. There is a difference between power issues  
11 failing to show an effect and issues of design. You could  
12 have a thousand patients in an active control study and it  
13 would not make any difference because you may not have a  
14 population of patients who are sensitive to the drug, or the  
15 conditions of use may be wrong. That is the reason why I  
16 think Modell and Hood were talking about the need for a  
17 measure of the sample's sensitivity to the drug. It is not  
18 just the drug; it is the sample.

19 Without having a standard drug in there, you have  
20 no way of candling the value of the studies that were done  
21 even if they had enormous power. It is not just statistical  
22 power; it is a design that fails to tell you that even an  
23 active standard drug would have worked.

24 In past NDAs that have come before this Committee,  
25 we have had multiple three-way trial designs which failed to

1 distinguish the standard drug from placebo. We have been  
2 burned before -- we had nomifensine and one of the six three-  
3 way trials showed a difference between standard drug and  
4 placebo. In another big drug that has yet to come to this  
5 country, marketed elsewhere in the world, a number of studies  
6 have failed to discriminate their drug from placebo. Failed  
7 studies in depression are common. Why they are -- ah, we all  
8 have our reasons and ideas but I think you have to accept  
9 that as part of the topography that we are working with.

10 I sympathize with you. We would prefer to have  
11 better studies but we are stuck with the applications we get.  
12 We try to shake them a bit but we cannot control them.

13 DR. HAMMER: Can I toss in a few statistical issues  
14 as the statistician here? In a sense, what we have is not  
15 just an effect size problem but, in a sense, what we are  
16 trying to wrestle with is sort of an intuitive multiple  
17 comparison problem. If all we had was the two outpatient  
18 studies and they fairly clearly showed some Sertraline effect,  
19 we would have what I interpret as the criteria necessary for  
20 us to say go ahead and approve the drug. That is, we have  
21 more than one well-controlled study that demonstrates an  
22 effect.

23 So the question is how do we interpret those two  
24 positive results in the context of several more studies that  
25 fail to demonstrate that effect? I am not sure I have an

1 answer to that but I am not sure that the law requires me to  
2 have an answer to that -- fortunately or unfortunately. That  
3 would mean, in a sense, that the sponsor could just do  
4 studies until the cows come home until he gets two of them  
5 that are statistically significant by chance alone, walks  
6 them out and says that he has met the criteria.

7 I have also participated in running and designing a  
8 number of depression trials and they are real difficult to do  
9 and it is difficult to demonstrate an effect.

10 Perhaps we might address some of these issues  
11 simply with labeling -- you know, they have failed to  
12 demonstrate an inpatient effect. That is a different thing  
13 statistically from demonstrating that there is no inpatient  
14 effect. Perhaps the labeling should state that they failed  
15 to demonstrate an inpatient effect.

16 DR. CASEY: Could I suggest a slightly different  
17 answer? While you were talking I was in collaboration with  
18 Dr. Laughren and we came to a similar conclusion, that is,  
19 maybe the Committee should address the language as something  
20 saying that the effect of Sertraline in inpatients is  
21 inadequately studied, period. That is our conclusion, isn't  
22 it? Is it? I think it is, that it is inadequately studied.  
23 It is a one-sentence line that goes in labeling.

24 DR. ESCOBAR: The question is does it have to be  
adequately studied?

1 DR. HAMMER: But given that it has been studied,  
2 perhaps the labeling ought to reflect that information.

3 DR. LEBER: Labeling of current drugs does say that  
4 they have failed to demonstrate an effect in a particular  
5 area. But to make a moral judgment about inadequacy or  
6 adequacy I think would be hard for us to put in labeling.

7 DR. LIEBERMAN: Just to frame the question again, I  
8 do not think anybody is saying that there is a lack of  
9 efficacy. It is a question, in my mind, as to whether the  
10 efficacy is comparable across all levels of severity of  
11 illness and, to some extent, that overlaps with the patient  
12 status, inpatient versus outpatient, and whether the efficacy  
13 at the doses required in different levels of severity has the  
14 same side effect risks involved. For those questions the  
15 data are not sufficient to enable us to come to a clear  
16 interpretation or conclusion.

17 DR. LEBER: By the way, I have to correct myself.  
18 Tom, do you want to read what we already allowed for Prozac?  
19 You ought to get it while it is hot.

20 DR. LAUGHREN: I have the labeling for Prozac.  
21 Incidentally, we had a very similar problem in making a  
22 decision about Prozac. We did not have data from an inpatient  
23 study that demonstrated that it was effective. As an  
24 approach to that deficiency, there is a statement in the

1 Prozac in hospitalized depressed patients has not been  
2 adequately studied. I think we are basically in the same  
3 situation here.

4 DR. PRIEN: In terms of the regulatory charge  
5 outlined by Paul that the sponsor provided evidence from more  
6 than one adequate study that Sertraline is effective for the  
7 treatment of depression, this group seems to agree that for  
8 outpatients we have established efficacy. I am not so sure.  
9 I would just like to throw this out because, as Paul states,  
10 there are no set boundaries, no standards for what constitutes  
11 a clinically significant difference or treatment outcome. So  
12 you are dealing with state-of-the-art opinion.

13 I think study 104 demonstrates an effective  
14 treatment difference between drug and placebo. I am somewhat  
15 less convinced about study 103, where I believe that the  
16 differences in mean change scores between drug and placebo  
17 are marginal perhaps, at best, in looking at all the outcome  
18 criteria scales.

19 I may be persuaded otherwise if I could see that  
20 the reanalysis of the HAM-D in terms of items that may  
21 reflect side effects might show something different. But I  
22 think this is something that the Committee has to deal with,  
23 that is, whether they actually think that the efficacy data  
24 are sufficient to classify it as more effective than placebo,  
25 not only statistically but clinically.

1 DR. CASEY: Dr. Prien has given us the challenge to  
2 review study 103 related to its clinical efficacy.

3 DR. TAMMINGA: It is a bit unclear to me how to  
4 separate statistical efficacy from clinical efficacy.

5 DR. HAMMER: I can address that. With sufficient  
6 subjects, a very small difference between several groups may  
7 well be statistically significant but for the size of the  
8 effect the difference between groups may be so small as to be  
9 unimportant in terms of treatment. Suppose I were working on  
10 some sort of a drug that influenced survival in cancer  
11 patients and I could demonstrate with sufficient subjects  
12 that the drug increased life expectancy from 435 days to  
13 435.2 days. That might be statistically significant but it  
14 would be clinically unimportant.

15 DR. LEBER: You also have to understand, however,  
16 from the point of view of regulation and by tradition that we  
17 have not attempted to set a minimum size of a treatment  
18 effect that must be achieved. For example, the suggestion of  
19 using a HAM-D 50 percent change score and then doing a  
20 categorical analysis of percent meeting that, most people, I  
21 guess, would be willing to say that a 50 percent change from  
22 HAM-D is some evidence of effectiveness. The answer has  
23 always been why not 45 percent or 65 percent and so on?

24 So for whatever the reasons are, historically we  
have chosen to allow people to document the efficacy of a

1 drug without ever dealing explicitly with the question that  
2 Bob has raised. That does not say that it is not a good  
3 question. The problem is how to reach consensus on how to  
4 judge that.

5 Remember, the HAM-D is a collection of items.  
6 Everybody is rating those items on the basis of an idiosyn-  
7 cratic, self-contained measure. We have seen people with  
8 symptomatic volunteers get HAM-Ds of 30 and more. We have  
9 seen other people, trained in different environments with  
10 different standards and experience, get very low HAM-Ds in  
11 very sick patients.

12 So the first question you have since you are not  
13 using an absolute measurement like degrees Calvin is what the  
14 measure means. The second thing is, if you do have a measure  
15 which is arbitrary and scaled to the world in a strange way,  
16 how big a change is enough?

17 The only answer I know to this has really been  
18 provided by statisticians who tend to look at the size of the  
19 average treatment change in terms of the size of the standard  
20 deviation of the population that is being tested. It is  
21 called the standardized difference. I believe Cohen has used  
22 it in power studies, and there is a variation on that known  
23 as the "small f" test, in which he looks at the standard  
24 deviation of the cell means versus the standard deviation of  
the population and characterizes treatment standards that

1 way.

2 DR. HAMMER: But even those do not address the issue  
3 of clinical importance in a sense, which is a substantive  
4 question not a statistical question. You can use the  
5 statistics to answer, in a sense, the substantive question  
6 once you have decided what the appropriate substantive  
7 question is.

8 DR. LEBER: But they do give you a historical  
9 estimate of what we are used to seeing in terms of the size  
10 of the treatment effect, that is, say, the max mean minus the  
11 smallest mean over the standard deviation of the population.

12 Just for kicks, this morning I ran a few of these  
13 using a program I got from a colleague, Dr. Lieberman, based  
14 on Cohen and it suggests on the HAM-D item, getting effect  
15 sizes for the "small f" of about 0.25-0.2, which he would  
16 classify as a modest to minimal treatment effect size. That  
17 is from studies 103 and 104. So they are not big treatment  
18 effect sizes, make no and's, if's or but's about it, even  
19 defined that way. It is a tough problem.

20 DR. LIEBERMAN: In terms of the clinical efficacy  
21 issue, Bob, is your question regarding study 103 about the  
22 magnitude of the placebo response rate or simply the quanti-  
23 tative difference in the Hamilton or CGI point reduction  
24 between Sertraline and the placebo groups?

DR. PRIEN: Both. But I wanted to add one thing to

SCG  
1 what Paul has said. There is one safeguard actually that I  
2 think is not often applied with some of the pharmaceutical  
3 company studies in trying to estimate whether a statistically  
4 significant difference is clinically significant. In  
5 choosing sample size, I know that grants that come in for  
6 review are very carefully reviewed so that you are not using  
7 too large or too small a sample size for the difference that  
8 you consider to have an acceptable treatment effect.

9           Unfortunately, in some pharmaceutical company  
10 studies, and other studies in the field, they pour patients  
11 in from 15, 20 centers with very large sample sizes. If  
12 those samples were determined a priori on the basis of need  
13 and need alone to determine the validity of a treatment  
14 difference in the population, I would be a lot more secure  
15 about the findings that are obtained.

16           DR. LINNOILA: Furthermore, if we talk in very  
17 loose terms of clinical versus statistical significance, I  
18 think that in the clinical realm it is important to note that  
19 there was a very high dropout rate in the study where Bob  
20 raised questions. We can again come to the issue and say  
21 that there was a design flaw because they started everybody  
22 at the high dose. But I do not think that we are here to  
23 make excuses for flaws in designs. We are here to evaluate  
24 data and if the data are poorly collected, for whatever  
25 reason, they have to speak for themselves.

1 DR. LEBER: Just to stir things up a little bit  
2 more, any NDA application is going to have hundreds of  
3 volumes and many thousands of patients and a lot of studies.  
4 Several of those studies are going to be botched. I guarantee  
5 it. You know, certain planes will crash; certain trains will  
6 come in late; certain things do not happen.

7 We are always in a position of trying to make a  
8 fair judgment, knowing that we have to weigh the requirements  
9 of the law, the expectations of a public that wants freer  
10 access to new and effective drugs, even if they are not  
11 necessarily as potent on a milligram basis or even in terms  
12 of the size of the treatment effect as others. They may have  
13 other advantages. It is very hard to judge a drug on a  
14 single dimension.

15 We take the data base as we have it. I think our  
16 staff this morning a very nice job of the kind of candling we  
17 do. At the end of that, we have to go back to the regulatory  
18 charge that I raised. It is not that they are entitled to  
19 every claim, every superlative ever made, but is the appli-  
20 cation, as submitted, such that we have a right to conclude  
21 it does not have evidence of safety for use; it does not have  
22 evidence of efficacy or it is inadequately labeled. I am not  
23 counting now the chemistry requirements.

24 If we can reach those conclusions, we can reject

MILLER REPORTING CO., INC. the application. If we cannot reach those conclusions, you

01208

Motus/Pfizer

1 have to approve the application. That is where we are. As a  
2 Committee, you might say to us, "look, we think the standards  
3 in this field are terrible. People have been getting away  
4 with non-substantive efficacy for years. We'd like you to  
5 change your standards. We think that henceforth you're going  
6 to need one standard deviation worth of change on the means  
7 in order to get it or some other rule."

8 Fine. Where do we go from there? What about all  
9 the drugs that are out there? How many of them can meet that  
10 standard? Can we enforce it legally, and so on and so forth?

11 So I would be delighted to hear discussion about  
12 how we can deal with it. Bob can ask the question about the  
13 size of the treatment effect but if you tell me a practical  
14 way to answer it, I would love to hear it.

15 DR. CASEY: Dr. Prien has an answer.

16 DR. PRIEN: Let me throw this back to you, Paul.  
17 What would you do if, say, you get a statistically significant  
18 difference with a difference in a HAM-D of perhaps one-tenth  
19 or three-tenths of a point; a CGI score that is just slightly  
20 different? What would you do with this, Paul? Are we going  
21 to fall back to the position that statistical significance is  
22 enough to demonstrate efficacy or are you going to leave this  
23 in the hands of a committee to try to judge whether, in their  
24 opinion, this is a clinically effective difference?

DR. LEBER: Again I think the answer is that we do

1 turn to committees. You set up an extreme case where we have  
2 used 2000 patients to demonstrate a statistical difference at  
3 0.2 units. But, actually, if you look across the size of the  
4 treatment effects here, with all the flaws, they are not so  
5 out of line with what we see in drug sees that show efficacy  
6 of previous antidepressants. I did not say there are  
7 enormous effects but our problem is never saying the minimum  
8 size of effect. It is a gestalt. By the way, that is a way  
9 of escaping dealing with this in a very careful way. It is  
10 the judgment, the sentiment almost, of individuals about what  
11 they see the size of the treatment coming out of the studies  
12 versus the risks of a drug versus all of it put together in  
13 some private way. You, as experts, offer an opinion about  
14 whether you find it convincing.

15 If you can articulate this into an algorithm that  
16 is quantitative and specific, I would love to see it.

17 DR. LIEBERMAN: Can I get a word in here?

18 DR. CASEY: You can try. Dr. Lieberman?

19 DR. LIEBERMAN: Just to respond very specifically  
20 to the question that Bob has raised about study 103, it would  
21 seem that the treatment effect is diluted to a significant  
22 degree, as Dr. Lee pointed out, by the dropout rate in the  
23 higher doses. Assuming we were going to see a more robust  
24 treatment effect associated with the higher doses, that was  
lost because of the increased dropout rate due to whatever

1 factors led to that. As a result, the overall effect is  
2 diminished somewhat and is most consistently apparent at the  
3 lower dose group which shows efficacy, but scaled down in  
4 magnitude, and is probably to some degree responsible for  
5 what we are perceiving as statistically significant but is not  
6 necessarily robust enough to be clinical effects.

7 In study 104, which is a single dose versus  
8 amitriptyline, there is a more consistent therapeutic effect  
9 what is observed.

10 DR. ESCOBAR: I guess there are some standards in  
11 epidemiology. At least I remember that unless you are able  
12 to interview 75 percent or more of the target population, the  
13 data were going to be very questionable. Is there such a  
14 standard for psychopharmacology? Do we have to have 50  
15 percent of the people complete before we take it seriously?

16 DR. LEBER: There is some history on this. Everyone  
17 who knows about it is laughing because at one point we  
18 arbitrarily said, "look, don't examine a study after it has  
19 lost more than 30 percent in any treatment arm." That was  
20 met with derision and attack and all sorts of other things  
21 because it had a lot of bad statistical properties for people  
22 who wanted to show drugs worked and they had to go until  
23 there were 10 percent left. So we have never been able to  
24 handle that issue.

What you saw us do here was to take a look at the 01211

Motus/Pfizer

1 observed cases and the endpoint analyses at each point of  
2 time, and we tried to understand the data. I think what you  
3 are doing, Jeff, is exactly that. You were saying, "look, if  
4 you have a lot of early dropouts from the high dose group,  
5 200 mg Sertraline, we are carrying forward to the average  
6 score in LOCF analyses people who are very sick who, even if  
7 they got placebo, would improve over time had they stayed in.  
8 So you are biasing that high dose study against the drug.

9         If you look at the observed cases, on the other  
10 hand, it is usually biased in favor of placebo because the  
11 patients who do not do well have been kicked out because they  
12 are not doing well and in the study we are allowed to drop  
13 people out. So observed cases raises the placebo response  
14 rate higher than it ought to be. So all of these things make  
15 the differences smaller than we think they would have been  
16 had the studies been carried to completion according to the  
17 design.

18         We never have studies without dropouts. Usually we  
19 are worried about studies where there is a differential  
20 pattern, as there was here. I think the statisticians, Dr.  
21 Lee and the clinical presentation nicely showed that in the  
22 200 mg group you got overwhelmingly large numbers of dropouts  
23 very early on. It is a flaw because of the method of  
24 induction on the drug. But it allows you to understand the

1 biased by early dropouts and you know that on average in  
2 depression people's scores are improving. So I guarantee, by  
3 subjective assessment guarantee, that those LOCF analyses for  
4 the 200 are lower in the size of the treatment effect  
5 illustrated than they might have been. I think that was your  
6 point.

7 DR. PRIEN: I agree. I think, having milked this  
8 issue a bit, the differences in mean change scores were  
9 marginal. However, what would sway my opinion toward it  
10 being an effective drug is the point that you raised, that  
11 the percent improvement and the final last observed or  
12 observed cases scores were similar to those you attain with  
13 other antidepressants. I think what you are seeing is a  
14 higher rate of improvement with placebo groups, which seems  
15 to be relatively more prevalent now with studies than it used  
16 to be, for whatever reason. But seems that the drug efficacy  
17 outcomes seem to remain relatively constant. But if you look  
18 back five or ten years ago with respect to placebo results,  
19 you are seeing a significant increase in the improvement rate  
20 of patients on placebo with the measures that are being  
21 utilized. What this means, I am not sure.

22 DR. CASEY: I have the feeling that we are coming  
23 to consensus that there are some things we do not know.

24 (Laughter)

I would like to try to get us to move forward and

1 see if there is any more discussion on this issue to see  
2 whether it would be time to address the first question about  
3 efficacy. Dr. Davis?

4 DR. DAVIS: I would like to ask for comments from  
5 both the FDA and the drug Company about the dropouts for lack  
6 of efficacy, as on page 69.

7 DR. CASEY: Page 69 of the sponsor's submission?

8 DR. DAVIS: Yes. For Sertraline there was 11.4  
9 percent dropouts for lack of efficacy; placebo, 22.4; active  
10 control, 5.9.

11 DR. CASEY: The specific line in question is lack  
12 of efficacy. That is approximately half way down the table.

13 DR. DAVIS: Yes.

14 DR. LAUGHREN: This is a pooled data set across all  
15 multiple dose studies. Notice that the sample size for  
16 Sertraline is 2710. I believe some of these were even open  
17 trials. It is every patient in the development program who  
18 got more than 1 dose of Sertraline.

19 DR. CASEY: Is the Committee ready to address by  
20 vote question number one on efficacy? Or would they like to  
21 have more discussion? Dr. Hezel?

22 DR. HEZEL: I have sort of a practical question to  
23 those of you who would prescribe this. Will there be any  
24 problem, given that most of the symptoms are GI, that you  
need to prescribe it with food, which will have relevance, of

1 course, to efficacy? In other words, if the patients are  
2 feeling bad GI-wise, will they be able to take it with food?

3 DR. LIEBERMAN: I do not know if this is the case  
4 but my impression was that this was not an acute effect of  
5 administration adverse reaction but was more of a chronic  
6 effect that occurred as a result of the sustained pharma-  
7 cologic actions of the drug. So it is not like lithium where  
8 you take it and you may feel a little nauseous.

9 DR. TAMMINGA: You certainly could never answer the  
10 question unless you knew the time course and nature of the  
11 nausea.

12 DR. LIEBERMAN: That is right.

13 DR. DAVIS: I would like to comment because we had  
14 these sheets passed to us but the audience may not have seen  
15 them.

16 DR. CASEY: Please do.

17 DR. DAVIS: We have been discussing the question of  
18 symptomatic volunteers and I gather that a substantial part  
19 of these patients were symptomatic volunteers. Of course, a  
20 symptomatic volunteers can have severe endogenous depression  
21 and they may not be able to afford private care and they may  
22 seek care from people offering free care. It raises problems  
23 of methodology but it is a complicated question. It is a  
24 very difficult question because the field of psychiatry does  
25 not know how to characterize who really has genuine endogenous

1 depression and who does not. Since psychiatry cannot answer  
2 that question, it is just an unknown.

3 But in terms of relative efficacy in the more  
4 severely depressed patients in the outpatient trials versus  
5 the moderately severely depressed -- maybe it would be better  
6 to say in the relatively severe versus the moderate because  
7 none of these are severely depressed patients, it looks like  
8 the drug-placebo difference is better in the sicker patients  
9 among the symptomatic volunteers, which would be reassuring  
10 from that point of view. Indeed, in one of these studies the  
11 evidence for efficacy is almost nil in the less sick group.

12 If you look at the final Hamilton's, in the severe  
13 group for Sertraline it is 13.4, amitriptyline, 12.3,  
14 placebo, 18.6.

15 DR. CASEY: John, are you reading from table XI or  
16 EBI?

17 DR. DAVIS: I am reading XI.

18 DR. LEBER: Could we find out what table XI is  
19 because it has a peculiar label?

20 DR. RYDER: I apologize. As I said, you are  
21 reading direct SAS output so it is not especially user  
22 friendly. Table XI is what was displayed on the screen  
23 before. It is data from protocol 104. It is the change from  
24 baseline to last visit, LOCF, for the HAM-D total score in

1 the left, and they are categorized according to their  
2 baseline HAM-D total severity, the three categories listed,  
3 mild, moderate and severe.

4 DR. LEBER: This is the intent-to-treat group?

5 DR. RYDER: Yes, it is.

6 DR. DAVIS: And the other one?

7 DR. RYDER: That is table XI. Table BBI is the  
8 same information from protocol 315. I do not think data were  
9 shown. This was the study that did not show efficacy versus  
10 placebo. It was the other outpatient study comparing  
11 Sertraline, amitriptyline and placebo.

12 I would be very cautious in reviewing the mild data  
13 because the N is extremely small. There were no mild's in  
14 protocol 315. That is why the column is absent. In protocol  
15 104 there were 3, 1 and 2.

16 DR. DAVIS: I would like to go ahead and read the  
17 other one too.

18 DR. CASEY: That is 103?

19 DR. DAVIS: No. If I understand it right, it is  
20 315 and that is the largely non-United States study in which  
21 Sertraline was relatively unimpressive, only slightly better  
22 than placebo and substantially less than amitriptyline.

23 If you look at the more severe group, final  
24 Hamilton's for Sertraline are 14.9, for amitriptyline, 16.1  
25 and placebo, 20.8. Changes for Sertraline were minus 13.1,

1 minus 12.3 and minus 7.4. That looks relatively favorable  
2 for Sertraline, being very similar to amitriptyline in the  
3 sicker group. The less favorable results were in the milder  
4 group.

5 So there is somewhat of a paradox here where  
6 Sertraline was unimpressive in inpatient studies, although I  
7 have a question about the inpatient studies as to what  
8 concomitant medications were used because there were a lot of  
9 concomitant medications.

10 DR. ESCOBAR: I would agree with John in the case  
11 of 104 only. In the case of 315, if you look at the total  
12 number of people, those numbers are relatively small. So I  
13 guess there is more ammunition to convince me that 104 may be  
14 a reasonable trial showing that Sertraline is an effective  
15 antidepressant. I am not that convinced about 103.

16 DR. LEBER: Well, this table for 315 deals with 19  
17 patients across all 3 patients --

18 DR. ESCOBAR: Yes.

19 DR. LEBER: It is a subset of a non-randomized  
20 comparison. It does not carry much weight one way or the  
21 other. We try to look at all the evidence. We try to look  
22 at evidence which has some innate persuasiveness to it,  
23 whereas, if it looks like a failed trial we treat it like a  
24 failed trial. We do not want a lot of failed trials but that  
happens.

1 DR. LINNOILA: The reason I was somewhat agitated  
2 is that there is clearly tremendous pressure to get new drugs  
3 to the market because psychiatric patients are not well  
4 served. There are loud complaints from the pharmaceutical  
5 companies about how expensive this process is. Then one sees  
6 a premiere American pharmaceutical company bringing forward  
7 data from clinical studies where experienced academic  
8 clinical psychopharmacologists can pretty much a priori say  
9 that they are going to be difficulties. You do not start  
10 patients at high doses of drugs without titration. It is  
11 pretty likely that you are going to get dropouts. On the  
12 other hand, you do not put up a multicenter study with 11  
13 different centers and study only 130 patients unless you have  
14 months-worth of training, cross-training between the centers  
15 so that the ratings are going to be the same.

16 What agitates me somewhat is that this sloppiness  
17 is in the end being charged to the patient in the price of  
18 the drugs. That is why I am somewhat agitated.

19 DR. CASEY: Your opinion was well made. Part of  
20 what we are doing, I believe, is mixing up the information we  
21 have before us with what we would like to have before us. I  
22 agree with many of the comments and there are some parts of  
23 the data base that we wish were different but we do not have  
24 them. Perhaps we should use some time in giving some guidance  
to the Division of what we might want to see for the future.

1 Dr. Leber asked for that, or at least he said he was open to  
2 hearing about it.

3 To be fair to the sponsor, I do not think that we  
4 want to change the rules in the middle of the game or near  
5 the end of the game. I do not hear anybody saying that but  
6 we want to be sure that we are explicit about that.

7 I still sense that we are approximating coming to  
8 an answer on question number one regarding efficacy. I am  
9 not sure additional discussion will get us closer. It may  
10 get us further away.

11 We have one issue to consider, and that is the  
12 timetable. It is 12:40. Some people might be getting hungry  
13 and wish for lunch. The other strategy is to just go forward  
14 and see if we can come to some answers. What would the  
15 Committee like to do?

16 DR. LIEBERMAN: I move we go forward.

17 DR. ESCOBAR: Second.

18 DR. CASEY: With that proviso, I will give permis-  
19 sion to anybody who feels they need to run to the bathroom or  
20 to the lunch room, and feel free to do it at any time.

21 Are we ready for question number one on efficacy?

22 DR. ESCOBAR: Is the answer only yes or no?

23 DR. CASEY: No, sir. We could have more discussion.

24 The point is do we need more discussion to address question

1 DR. HAMMER: Could the answer be yes or no and also  
2 some instructions or requests about labeling?

3 DR. CASEY: I would like to separate those, that we  
4 have an answer about the issue of efficacy and then if we  
5 want to provide guidance or an opinion about labeling, I  
6 think that would be fine.

7 DR. HAMMER: Could the answer be yes or no in  
8 outpatients only?

9 DR. CASEY: I think the labeling is something that  
10 we should probably leave to the FDA. I would be comfortable  
11 with the way it is worded currently with Prozac in terms of  
12 its efficacy and then making a specific statement about  
13 giving the caveat about inpatient major depressives not being  
14 adequately studied. But, again, I think we can address those  
15 after the issue of efficacy.

16 DR. ESCOBAR: The choice for those of us who are  
17 not sure is to abstain. Is that right?

18 DR. CASEY: Abstention is always an option. Yes,  
19 you bet. But I do not want to prematurely close the issue.  
20 If people want to discuss this or want to have a particular  
21 process, let's go ahead and decide what our process should  
22 be.

23 DR. DAVIS: Dr. Leber gave a very good paragraph of  
24 what the law was. There were three or four points. The  
first was what is required for efficacy; what is required for

1 safety and what is required for labeling. Please repeat what  
2 you said before.

3 DR. LEBER: Basically, the law -- this is not a  
4 regulation but the law that carries it -- says that when an  
5 application is submitted the FDA shall review it and, within  
6 that statutory time limit that is never met, reach a con-  
7 clusion and approve the drug unless it finds from that review  
8 that the drug is unsafe for use under the conditions enu-  
9 merated in the labeling or that there had been a lack of test  
10 to show that the drug is safe under those conditions. That  
11 is, it shall approve it unless it finds there is a lack of  
12 substantial evidence.

13 Then it defines what substantial evidence is, and I  
14 will sort of paraphrase it, that is, evidence derived from  
15 adequate and well-controlled investigations, including  
16 clinical investigations, that would allow experts qualified  
17 by training and experience to reach the conclusion or reach  
18 the judgment that the drug will have the effect claimed for  
19 it in the labeling. It is not, as they say, a preponderance  
20 of evidence standard but a substantial evidence standard, and  
21 you can all get your attorneys to help you will that one.

22 Finally, we are to approve a drug unless we find  
23 that its labeling is false and misleading in some particular.  
24 For example, you might be able to impose a restriction on the

sgg  
1 antidepressant without mentioning that it fails to have an  
2 effect demonstrated in inpatients would be misleading. So  
3 there are ways you can get around it.

4 Basically that is what we are asking you. We are  
5 not asking you about the preclinical tox. We are not asking  
6 you about chemistry and so on.

7 DR. DAVIS: There is a problem there because we  
8 have been asked to comment on labeling without knowing the  
9 labeling.

10 DR. LESER: Well, that has been a tradition, having  
11 read your sense of what you are worried about, to negotiate  
12 the specifics with the firm. The reason for that is that we  
13 are trying to maintain a certain degree of equity in dealing  
14 with all of the regulated industry. I will tell you candidly  
15 that on an antidepressant, for example, we try to state that  
16 the drug is approved for the treatment of depression. Then  
17 we put the qualifiers after that. That stems from our  
18 interest in avoiding what I call "pseudo-specific claims" --  
19 depression in bored housewives, depression in the elderly,  
20 depression in those with heart disease, which would elaborate  
21 from that.

22 So, basically, the question we want to know from  
23 you is, given the evidence that you have seen on efficacy  
24 that has been submitted, do you think it is enough to

1 efficacy?

2 DR. DAVIS: I think I would infer from that that we  
3 should discuss labeling to have some guidelines recorded for  
4 the minutes because it may be an issue.

5 DR. HAMMER: And we have discussed labeling in the  
6 past and made recommendations in the past. I just do not  
7 remember whether we did that before or after approval for  
8 efficacy.

9 DR. CASEY: I believe it was after.

10 DR. LEBER: There is no point having labeling if  
11 you do not have an approved drug. Efficacy is the sine qua  
12 non for approval.

13 DR. DAVIS: In terms of general discussion before  
14 we get down to brass tacks, one thing that was suggested  
15 before, which I would like further clarification on, is this  
16 matter of when you have multiple studies -- one could do a  
17 number of studies and then pick the study which was most  
18 efficacious and go with that, which would introduce a  
19 statistical bias. What is the law in terms --

20 DR. LEBER: The law, as far as I know, never  
21 discussed the issue of multiplicity. However, I think the  
22 interpretation locally would be that if you did a hundred  
23 studies and found five that were effective, something would  
24 be wrong with the error rate and we would not approve it.

-- has not been unusual in the past to have many

1 failed studies and yet have the drug approved. I have talked  
2 about this and so have other members of the staff, we do not  
3 have a systematic program for a meta-analysis within an NDA.  
4 Maybe there ought to be. Jerry Levine, for example, is very  
5 interested in types of review of the data elements that one  
6 would look at in reaching a conclusion. If this were a  
7 series of trials with a common endpoint, maybe we would use a  
8 Yusef-like (phonetic) method. But we do not. That probably  
9 has to do with the fact that the law has said "substantial"  
10 rather than "preponderance" and you have to believe that  
11 there is enough evidence here to allow experts to conclude  
12 that the drug will have the effects claimed for it. The drug  
13 claim will basically be that it is an effective antidepressant  
14 with this proviso that we do not know if it works in in-  
15 patients, or something to that effect. Tom, why don't you  
16 have a whack at expanding on this?

17 DR. LAUGHREN: I agree. I would prefer to have an  
18 initial opinion about efficacy prior to going on to talking  
19 about advice for labeling. I think that is where you have to  
20 start.

21 DR. LIEBERMAN: I think we have gone as far as we  
22 can in terms of examining the evidence available to us. I  
23 move that we take a poll on efficacy and then move to what  
24 our attention seems to be focusing on, which is how to  
25 qualify the recommendation for efficacy.

1 DR. HEZEL: I have a point for clarification.  
2 Several Committee members have questioned protocol 103. So  
3 104 is 489 patients and we have some consensus on our  
4 Committee for efficacy?

5 DR. CASEY: Are you asking to clarify the number of  
6 patients in study 104?

7 DR. HEZEL: That is right.

8 DR. CASEY: Does anybody know immediately?  
9 (Dr. Choudhury shows Dr. Hezel the documentation)

10 DR. CASEY: Dr. Hezel, have you had that question  
11 answered satisfactorily? It is more than 400, less than 500,  
12 depending on how you work with the numbers.

13 DR. LEBER: We can get you an exact number but it  
14 may change.

15 DR. CASEY: I sense that we are moving closer to  
16 having a vote on question one. For those who are wishing  
17 that somebody would give an opinion, I will state that I  
18 think that there is sufficient evidence for efficacy, though  
19 I hope that the lesson from today is that additional work  
20 will be well rewarded when it is put into the front end of  
21 studies and that, as the years go by, we will see more and  
22 more advancement in the quality of psychopharmacological  
23 research brought to the Committee.

24 Shall we take a vote on question number one? The

1 more than one adequate and well-controlled clinical investi-  
2 gation that supports the conclusion that Sertraline is  
3 effective for the treatment of depression? Those who believe  
4 the sponsor has provided such information, please vote now.

5 (Show of hands)

6 Six in favor, including myself. Those who believe  
7 the sponsor has not provided adequate evidence, please raise  
8 your hands.

9 One. Those wishing to abstain, please do so.

10 (Show of hands)

11 Two. Six yes, two abstaining and one no.

12 We will move on to the second issue? Has the  
13 sponsor provided evidence that Sertraline is safe when used  
14 in the treatment of depression? Those in favor, raise their  
15 hands.

16 (Show of hands)

17 Nine. That is unanimous.

18 Now to the issue of labeling. Comments?

19 DR. LEBER: It would be useful for you to say  
20 affirmatively now what you would like to see. The exact  
21 wording has to be negotiated but it would be nice to know  
22 what your concerns are in an organized way so that when we  
23 come to write labeling we can carry forward your wishes.

24 DR. LINNOILA: My concern is that the sponsor has

1 the treatment of depressed inpatients.

2 DR. HAMMER: I agree. I think the sponsor has  
3 failed to show efficacy in inpatients. I would like the  
4 labeling to say something that communicates that.

5 DR. PRIEN: Have we separated the issue of severity  
6 from inpatient/outpatient status?

7 DR. TAMMINGA: We have not done that fully. In the  
8 outpatient studies the Company has separated the people into  
9 mild -- although there are not enough of them -- moderate and  
10 severe. If one were looking at inpatients, would the  
11 severity criteria be different? I am not sure how the  
12 inpatient/outpatient question is different.

13 DR. DAVIS: I think one has to think of this in the  
14 context of symptomatic volunteers. We have data in the  
15 symptomatic volunteers classified as mild, moderate and  
16 severe but those are still severe symptomatic volunteers. So  
17 we do not know about real depressed outpatients, although I  
18 am sure many of these are. There are somewhat inconclusive  
19 inpatient trials, which makes it a complicated and difficult  
20 matter to write labeling for.

21 DR. CASEY: The labeling may state just that, that  
22 issues of severity for inpatient and outpatients are not yet  
23 clarified.

24 DR. HAMMER: But I think it would be pretty plain

1 inpatients. That is four or five words. You cannot get much  
2 plainer than that.

3 DR. LEBER: Except that it can have less meaning  
4 than that. See, that is my concern. Again, I want to  
5 emphasize to all of you that I do not think, Dr. Linnoila's  
6 words notwithstanding, that anyone really knows what it  
7 means to say someone is an inpatient. In fact, what we need  
8 to do in some way, and I think this is a point John Davis was  
9 getting at, we do not really have independent, objective  
10 measures of severity of illness in depression. These are all  
11 rating scales made by people.

12 So it is conceivable, to show you a dishonest way  
13 out of this, that a sponsor could arrange to have hospitalized  
14 the kind of patient that, on the basis of the data they now  
15 know, is likely to respond. Then they would have met your  
16 criteria of showing an effect in inpatients and have totally  
17 violated the spirit of what you want to check, whether the  
18 severe, endogenomorphically depressed, perhaps highly  
19 suicidal, dangerous, difficult to manage patients will  
20 respond to this treatment in an experiment in which they also  
21 respond to something like amitriptyline or even ECT. Don't  
22 you?

23 DR. DAVIS: I would say that two inpatient studies  
24 were done, with relatively small sample sizes, with some  
concomitant medications, which did not show efficacy, and let

1 the readers of the PDR draw their own conclusions.

2 DR. LAUGHREN: At some point it becomes an issue of  
3 how much you can put in labeling. I gave you the example  
4 from Prozac where we were in a very similar situation and did  
5 not have a positive study in depressed inpatients. To go to  
6 the length of describing exactly what was done would add to  
7 the length of labeling. I am not sure that it would add  
8 enough additional valuable information to justify it. I  
9 think that is my concern.

10 Obviously the issue here is that there is a  
11 disagreement about what inpatient status conveys even on this  
12 Committee.

13 DR. DAVIS: I am not sure there is a disagreement.  
14 I agree entirely that it is somewhat indeterminate. I agree  
15 with what Dr. Leber said, there are problems with inpatient  
16 studies as well as outpatient studies and it is hard to have  
17 the exact balance.

18 One thing I do like about FDA labeling is your  
19 tables where you will often have a table of side effects  
20 comparing a new drug with placebo so the reader can read the  
21 numbers. I think you could do something similar here with a  
22 carefully chosen sentence.

23 I would like to give you some discussion, at least  
24 from me on this Committee, to allow you support for doing

MILLER REPORTING COMPANY - that if you would want to do it. I also agree with what you

1 said, that in labeling you cannot have every possible  
2 qualification and you have to try and put it in well chosen  
3 sentences to get some sort of essence.

4 DR. LIEBERMAN: I have one informational question  
5 and then a comment as to labeling. It is sort of late to be  
6 raising this but in terms of the severity issue, do we know  
7 what proportion of the major depressive disorder patients in  
8 the sample may have been of the melancholic subtype? Because  
9 of severity and in terms of how it relates to inpatient  
10 versus outpatient patients and symptomatic volunteers that  
11 might be useful to know.

12 The comment as to labeling has to do with the  
13 dosage. The dosage that is being recommended for utilization  
14 is 50-200 mg. So if we are going to comment as to the lack  
15 of information determining efficacy in more severe forms of  
16 depression, we might qualify that to say in the dose range  
17 that is currently being prescribed.

18 DR. CASEY: The sponsor says that they do have the  
19 information available, if you feel that it is contributory at  
20 this point, regarding subtype diagnoses.

21 DR. LIEBERMAN: I would be interested in hearing  
22 it, yes.

23 DR. RYDER: As I think Dr. Lee mentioned, patients  
24 were categorized according to DSM-III and 62 percent were  
major recurrent; 34 percent single episode and for melancholy

1 and non-melancholy subtypes, if I remember correctly, the  
2 distribution was about half and half.

3 DR. LIEBERMAN: I, personally, find it somewhat  
4 reassuring to know that a significant proportion of the  
5 sample was comprised of melancholic subtype patients.

6 DR. DAVIS: It is quite possible that further  
7 information from the sponsor could clarify this matter  
8 because one could get further information about drug/placebo  
9 efficacy in their data base classified by criteria like  
10 endogenomorphic versus non-endogenomorphic drug/placebo  
11 effects. If the drug/placebo effects are larger in the more  
12 carefully diagnosed depressed patients, it would allow  
13 stronger labeling in terms of specificity for the depressed  
14 disease.

15 DR. CASEY: It may be just as problematic as those  
16 words also mean something special to each one of us but we  
17 may not yet have cross-validated definitions of what those  
18 are.

19 DR. LEBER: I am going to give you a challenge. I  
20 mean we start looking at subcategories of this great unwashed  
21 mass called depressed patients and the implication is that  
22 knowing the subclassification in some way predicts treatment  
23 response, course, outcome or the like. I would like to see  
24 the evidence before we jump to those conclusions that tells

... in any way tell you what the

1 treatment response is going to be. If you do not have that,  
2 it is like saying that 60 percent of the sample is old ladies  
3 from Queens, and that is not going to tell you anything.

4           What we need to find out about are the markers for  
5 treatment response. I think they are going to be biological  
6 eventually. Certainly Dr. Lieberman would agree with that  
7 concept. When we have those, then we can do it but right now  
8 most of the clinical descriptors do not tell us anything,  
9 including inpatient status I think.

10           DR. LIEBERMAN: You are right in the sense that  
11 there are imperfect and imperfectly validated sub-syndromes.  
12 But, on the other hand, there is significant evidence in  
13 terms of biologic measures, as well as placebo response  
14 rates, which are associated with melancholia as a subtype as  
15 opposed to the larger category of MDD.

16           DR. LEBER: I would like to see the meta-analysis  
17 on that.

18           DR. CASEY: I would like to bring up an additional  
19 point that we have not directly touched on. That is, whether  
20 drugs in this class lead to patients attempting or successful-  
21 ly committing suicide, as has been suggested about another  
22 compound in this class. I read the data in the presentation  
23 today as there not being evidence of that for Sertraline.

24 Are there additional comments? Did other people read this  
similarly or the same? Everyone is nodding their heads that

1 yes, they read it the same as I do. There is not evidence  
2 that Sertraline produces increased risk of attempted or  
3 successful suicide in the patients reported.

4 DR. ESCOBAR: On a short-term basis.

5 DR. CASEY: Within the limits of the data that we  
6 have had presented today.

7 DR. TAMMINGA: That is for 44 weeks.

8 DR. HAMMER: And no more so than successful  
9 treatment of extremely depressed patients can increase the  
10 risk of suicide by enabling them to do it.

11 DR. LEBER: While we are at it, it shows you how  
12 times change. When we first looked at fluoxetine, the great  
13 sword of Damocles that hung over us was the issue of zimeli-  
14 dine with ascending paralysis and its flu-like syndrome. Now  
15 with several years under our belt, I guess we have accepted  
16 one or two cases and we do not think it is higher than the  
17 underlying risk, and that has gone to sleep. Now with  
18 fluoxetine being pegged on the issue that was just brought up  
19 for possibly inducing the strange subset of ideational  
20 behavior about suicide, that is why this question comes up.

21 This seems to be remarkably clean as antidepressants  
22 or, in fact, all drugs go for its safety data base. How many  
23 seizures did we have? I do not think it was even mentioned.

24 DR. LAUGHREN: I believe there was one seizure but

1 stopped taking his anticonvulsant.

2 DR. RYDER: That is correct. If I remember  
3 correctly, that patient was taking 100 mg of Sertraline. The  
4 drug was never stopped. The patient had sub-therapeutic  
5 levels of his maintenance anticonvulsant. The anticonvulsant  
6 was reestablished and Sertraline was continued for an  
7 additional -- if my memory is right -- six weeks, until the  
8 end of the study, without any further episodes.

9 DR. LEBER: The point that I was I ; to get some  
10 concurrence with you on is that complaints were made for many  
11 years that the second generation of antidepressants did not  
12 work and did not do anything. But we are now beginning to  
13 get a class of second and third generation antidepressants  
14 which work. You have your concerns about how much but they  
15 seem to be presenting with a very different panoply of side  
16 effects. There are no cardiovascular effects to speak of.  
17 There are no anticholinergic effects to speak of -- relatively  
18 free of some of the things that have troubled us with some of  
19 the tricyclics in the males. Maybe the last three or four  
20 have been like that. So there are dimensional tradeoffs.

21 Anybody disagree with that assessment? I am not  
22 trying to make a commercial but for those who are neither in  
23 the drug industry nor in the academic community who may read  
24 our transcripts.

DR. HAMMER: There is no such thing as a side

01235

Motus/Pfizer

1 effect free drug. Even placebo.

2 DR. LEBER: There is no free lunch, right.

3 DR. DAVIS: I would agree with you. I think some  
4 of these newer drugs have significantly less side effects.

5 DR. CASEY: Are there other issues that the  
6 Committee members would like to put on the table for discus-  
7 sion?

8 DR. DAVIS: I would like to comment the dose-  
9 response study. Had there been a nice linear dose-response  
10 curve, everybody would have been enthusiastic. There may be  
11 a hint of a flattening out but that is important information.  
12 It is important to see things in perspective. I would see  
13 some of the design things as a step forward.

14 DR. CASEY: Agreed.

15 DR. DAVIS: I also would like, from a general  
16 philosophic point of view, to follow up on Dr. Laughren's  
17 comments. If one is too conservative, one does not release  
18 drugs soon enough to the market and one cannot have answers  
19 on every single question. But I thought Dr. Laughren's list  
20 of questions for where there should be some data was a good  
21 list. I think that should be taken seriously. But you  
22 cannot answer every single possible thing with a very large  
23 sample size study. But it would be good to have some  
24 reasonable information, hopefully, some quantitative and some

----- would speak to each of these points.

1 DR. CASEY: I agree. They are very reasonable.  
2 They are the kinds of things you would like to have for  
3 practical use of how you use a drug with a patient or group  
4 of patients.

5 DR. DAVIS: It was too good a list of information  
6 not to speak in support of.

7 DR. LAUGHREN: Part of my reason for presenting it  
8 was in hope of getting companies to think about these things  
9 early on in development. I think many of those questions  
10 could have been answered without making much in the way of a  
11 change in the trials that were already being done, and may  
12 have been answered quite easily if they had been thought  
13 about early enough.

14 DR. CASEY: We are coming to a close but, first,  
15 Dr. Davis has one more comment.

16 DR. DAVIS: Speaking in terms of perspective, I  
17 think it is very important to recognize that the study was  
18 very brief in duration and I agree with Dr. Lee's comments,  
19 but that, at least to me, was important evidence for efficacy  
20 within the limitations of the study. That is another new  
21 positive development.

22 DR. CASEY: Yes, though there were many limitations.

23 DR. PRIEN: One other point that was brought up, I  
24 think we should continue to encourage companies, the FDA and  
25 academia to pay more attention to continuation and longer-

1 term maintenance studies, not only for antidepressants but  
2 other psychotropic drugs as well, and perhaps work out a  
3 design paradigm that is acceptable to everyone.

4 DR. CASEY: Yes. One way to put the message is not  
5 to just do what somebody else did before, what they have  
6 always historically done, but put some thought into this and  
7 expect that you will be asked to advance the field step by  
8 step. Dr. Leber?

9 DR. LEBER: I have one other thought about long-  
10 term maintenance studies. You all may be aware that Stewart  
11 Montgomery, of the CSM, has gone around saying that the  
12 requirement in the world's new economic order in Europe, I  
13 guess, will be that there be a maintenance study of patients  
14 who have recovered. He claims to have done it with fluoxetine  
15 against placebo. I think he took patients who were six  
16 months into their recovery and re-randomized them to placebo  
17 and fluoxetine. I do not remember the full design but he  
18 then carried them forward. That was to show maintenance  
19 against relapse.

20 It is the kind of thing that I think we would like  
21 to do if we can get people to do it. The question again is  
22 regulatory authority and is that within the set of minimum  
23 demands you can make?

24 DR. LINNOILA: I think the other message would be

1999, 2000, 2001, 2002, 2003, 2004, 2005, 2006, 2007, 2008, 2009, 2010, 2011, 2012, 2013, 2014, 2015, 2016, 2017, 2018, 2019, 2020, 2021, 2022, 2023, 2024, 2025, 2026, 2027, 2028, 2029, 2030, 2031, 2032, 2033, 2034, 2035, 2036, 2037, 2038, 2039, 2040, 2041, 2042, 2043, 2044, 2045, 2046, 2047, 2048, 2049, 2050, 2051, 2052, 2053, 2054, 2055, 2056, 2057, 2058, 2059, 2060, 2061, 2062, 2063, 2064, 2065, 2066, 2067, 2068, 2069, 2070, 2071, 2072, 2073, 2074, 2075, 2076, 2077, 2078, 2079, 2080, 2081, 2082, 2083, 2084, 2085, 2086, 2087, 2088, 2089, 2090, 2091, 2092, 2093, 2094, 2095, 2096, 2097, 2098, 2099, 2100, 2101, 2102, 2103, 2104, 2105, 2106, 2107, 2108, 2109, 2110, 2111, 2112, 2113, 2114, 2115, 2116, 2117, 2118, 2119, 2120, 2121, 2122, 2123, 2124, 2125, 2126, 2127, 2128, 2129, 2130, 2131, 2132, 2133, 2134, 2135, 2136, 2137, 2138, 2139, 2140, 2141, 2142, 2143, 2144, 2145, 2146, 2147, 2148, 2149, 2150, 2151, 2152, 2153, 2154, 2155, 2156, 2157, 2158, 2159, 2160, 2161, 2162, 2163, 2164, 2165, 2166, 2167, 2168, 2169, 2170, 2171, 2172, 2173, 2174, 2175, 2176, 2177, 2178, 2179, 2180, 2181, 2182, 2183, 2184, 2185, 2186, 2187, 2188, 2189, 2190, 2191, 2192, 2193, 2194, 2195, 2196, 2197, 2198, 2199, 2200, 2201, 2202, 2203, 2204, 2205, 2206, 2207, 2208, 2209, 2210, 2211, 2212, 2213, 2214, 2215, 2216, 2217, 2218, 2219, 2220, 2221, 2222, 2223, 2224, 2225, 2226, 2227, 2228, 2229, 2230, 2231, 2232, 2233, 2234, 2235, 2236, 2237, 2238, 2239, 2240, 2241, 2242, 2243, 2244, 2245, 2246, 2247, 2248, 2249, 2250, 2251, 2252, 2253, 2254, 2255, 2256, 2257, 2258, 2259, 2260, 2261, 2262, 2263, 2264, 2265, 2266, 2267, 2268, 2269, 2270, 2271, 2272, 2273, 2274, 2275, 2276, 2277, 2278, 2279, 2280, 2281, 2282, 2283, 2284, 2285, 2286, 2287, 2288, 2289, 2290, 2291, 2292, 2293, 2294, 2295, 2296, 2297, 2298, 2299, 2300, 2301, 2302, 2303, 2304, 2305, 2306, 2307, 2308, 2309, 2310, 2311, 2312, 2313, 2314, 2315, 2316, 2317, 2318, 2319, 2320, 2321, 2322, 2323, 2324, 2325, 2326, 2327, 2328, 2329, 2330, 2331, 2332, 2333, 2334, 2335, 2336, 2337, 2338, 2339, 2340, 2341, 2342, 2343, 2344, 2345, 2346, 2347, 2348, 2349, 2350, 2351, 2352, 2353, 2354, 2355, 2356, 2357, 2358, 2359, 2360, 2361, 2362, 2363, 2364, 2365, 2366, 2367, 2368, 2369, 2370, 2371, 2372, 2373, 2374, 2375, 2376, 2377, 2378, 2379, 2380, 2381, 2382, 2383, 2384, 2385, 2386, 2387, 2388, 2389, 2390, 2391, 2392, 2393, 2394, 2395, 2396, 2397, 2398, 2399, 2400, 2401, 2402, 2403, 2404, 2405, 2406, 2407, 2408, 2409, 2410, 2411, 2412, 2413, 2414, 2415, 2416, 2417, 2418, 2419, 2420, 2421, 2422, 2423, 2424, 2425, 2426, 2427, 2428, 2429, 2430, 2431, 2432, 2433, 2434, 2435, 2436, 2437, 2438, 2439, 2440, 2441, 2442, 2443, 2444, 2445, 2446, 2447, 2448, 2449, 2450, 2451, 2452, 2453, 2454, 2455, 2456, 2457, 2458, 2459, 2460, 2461, 2462, 2463, 2464, 2465, 2466, 2467, 2468, 2469, 2470, 2471, 2472, 2473, 2474, 2475, 2476, 2477, 2478, 2479, 2480, 2481, 2482, 2483, 2484, 2485, 2486, 2487, 2488, 2489, 2490, 2491, 2492, 2493, 2494, 2495, 2496, 2497, 2498, 2499, 2500, 2501, 2502, 2503, 2504, 2505, 2506, 2507, 2508, 2509, 2510, 2511, 2512, 2513, 2514, 2515, 2516, 2517, 2518, 2519, 2520, 2521, 2522, 2523, 2524, 2525, 2526, 2527, 2528, 2529, 2530, 2531, 2532, 2533, 2534, 2535, 2536, 2537, 2538, 2539, 2540, 2541, 2542, 2543, 2544, 2545, 2546, 2547, 2548, 2549, 2550, 2551, 2552, 2553, 2554, 2555, 2556, 2557, 2558, 2559, 2560, 2561, 2562, 2563, 2564, 2565, 2566, 2567, 2568, 2569, 2570, 2571, 2572, 2573, 2574, 2575, 2576, 2577, 2578, 2579, 2580, 2581, 2582, 2583, 2584, 2585, 2586, 2587, 2588, 2589, 2590, 2591, 2592, 2593, 2594, 2595, 2596, 2597, 2598, 2599, 2600, 2601, 2602, 2603, 2604, 2605, 2606, 2607, 2608, 2609, 2610, 2611, 2612, 2613, 2614, 2615, 2616, 2617, 2618, 2619, 2620, 2621, 2622, 2623, 2624, 2625, 2626, 2627, 2628, 2629, 2630, 2631, 2632, 2633, 2634, 2635, 2636, 2637, 2638, 2639, 2640, 2641, 2642, 2643, 2644, 2645, 2646, 2647, 2648, 2649, 2650, 2651, 2652, 2653, 2654, 2655, 2656, 2657, 2658, 2659, 2660, 2661, 2662, 2663, 2664, 2665, 2666, 2667, 2668, 2669, 2670, 2671, 2672, 2673, 2674, 2675, 2676, 2677, 2678, 2679, 2680, 26

88

9

23

1 ways in which they are actually used.

2 DR. HAMMER: I would like to make one more comment  
3 on the labeling. I think that if the labeling for this drug  
4 is identical to Prozac, and I am not going to comment on  
5 whether I think that is appropriate for Prozac, but if it is  
6 identical, that would allow some readers to draw the inference  
7 that had the studies been done properly the drug would have  
8 been shown effective. Whereas, I think that if you just make  
9 a plain statement that they failed to show efficacy in  
10 inpatients, that will not encourage the reader to make the  
11 inference that had the studies been done correctly the drug  
12 would have been shown to be efficacious.

13 DR. CASEY: I think your point has been taken by  
14 the Agency.

15 Once earlier I said we are getting to a close. I  
16 think we are getting to closure. I want to thank the Agency  
17 for their very clear, concise and well-thought out presen-  
18 tations. I want to thank the sponsor for their clear and  
19 well-thought out presentation and their availability to  
20 provide information when we asked for it. I want to thank  
21 Mr. Bernstein for his work in making this meeting run well  
22 and, finally, to thank the Committee for all their efforts.  
23 The meeting is adjourned.

24 (Whereupon, at 1:20 p.m., the Committee adjourned)

## C-E-R-T-I-F-I-C-A-T-E

I, D. Gavrisheff, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

/s/ D. Gavrisheff